

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PHARMACEUTICAL SCIENCE AND
CLINICAL PHARMACOLOGY (PSCP) ADVISORY COMMITTEE

Thursday, September 20, 2018

8:00 a.m. to 10:52 a.m.

Morning Session

FDA White Oak Campus
Building 31, the Great Room
10903 New Hampshire Avenue
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Jennifer Shepherd, RPh**

4 Division of Advisory Committee and Consultant
5 Management Office of Executive Programs, CDER, FDA

6
7 **PHARMACEUTICAL SCIENCE AND CLINICAL PHARMACOLOGY**

8 **ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Gregory E. Amidon, PhD**

10 *(Chairperson)*

11 Research Professor of Pharmaceutical Sciences
12 Department of Pharmaceutical Sciences College of
13 Pharmacy
14 University of Michigan
15 Ann Arbor, Michigan

16
17 **Jeffery M. Carrico, PharmD, BCPS**

18 Service Chief, Clinical Pharmacy and
19 Investigational Drug Research
20 Member, Institutional Review Board (IRB) National
21 Institutes of Health (NIH), Clinical Center
22 Bethesda, Maryland

1 **Sandra Finestone, PsyD**

2 *(Consumer Representative)*

3 Executive Director

4 Association of Cancer Patient Educators Irvine,

5 California

6

7 **Tonglei Li, PhD**

8 Allen Chao Chair and Professor, Associate Dean for

9 Graduate Programs

10 Purdue University, College of Pharmacy

11 West Lafayette, Indiana

12

13 **Donald E. Mager, PharmD, PhD**

14 Associate Professor of Pharmaceutical Sciences

15 University of Buffalo, SUNY

16 Department of Pharmaceutical Sciences

17 School of Pharmacy and Pharmaceutical Sciences

18 Buffalo, New York

19

20

21

22

1 **Patricia W. Slattum, PharmD, PhD, GCP**

2 Professor of Pharmacotherapy and Outcomes Science

3 Virginia Commonwealth University

4 Richmond, Virginia

5

6 **Duxin Sun, PhD**

7 Professor

8 University of Michigan College of Pharmacy Ann

9 Arbor, Michigan

10

11 **Andre Terzic, MD, PhD, FAHA**

12 Professor of Medicine and Pharmacology Center For

13 Regenerative Medicine

14 Mayo Clinic

15 Rochester, Minnesota

16

17

18

19

20

21

22

1 **PHARMACEUTICAL SCIENCE AND CLINICAL PHARMACOLOGY**

2 **ADVISORY COMMITTEE MEMBERS (Non-Voting)**

3 **Walid M. Awni, PhD**

4 *(Industry Representative)*

5 Vice President, Clinical Pharmacology and

6 Pharmacometrics

7 AbbVie

8 North Chicago, Illinois

9
10 **Jack A. Cook, PhD**

11 *(Industry Representative)*

12 Vice President, Clinical Pharmacology Global

13 Product Development

14 Pfizer, Inc.

15 Groton, Connecticut

16
17 **Srini Tenjarla, PhD**

18 *(Industry Representative)*

19 Vice President and Head of Global Pharmaceutical

20 Sciences

21 Shire

22 Lexington, Massachusetts

1 **TEMPORARY MEMBERS (Voting)**

2 **Maureen D. Donovan, PhD**

3 Associate Dean for Undergraduate Education
4 Professor, Department of Pharmaceutical Sciences
5 and Experimental Therapeutics
6 College of Pharmacy
7 University of Iowa
8 Iowa City, Iowa

9
10 **Paul J. Smith, PhD, MS**

11 Director, Statistics Program Department of
12 Mathematics University of Maryland
13 College Park, Maryland

14
15 **FDA PARTICIPANTS (Non-Voting)**

16 **Michael Kopcha, PhD, RPh**

17 Director
18 Office of Pharmaceutical Quality (OPQ) CDER, FDA

19
20 **Lawrence X. Yu, PhD**

21 Deputy Director OPQ, CDER, FDA

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Susan M. Rosencrance, PhD

(Morning Session Only)

Director

Office of Lifecycle Drug Products/OPQ/CDER/FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Gregory Amidon, PhD	10
5	Conflict of Interest Statement	
6	Jennifer Shepherd, RPh	13
7	FDA Introductory Remarks	
8	Michael Kopcha, PhD, RPh	17
9	Session I: Knowledge-Aided Assessment and	
10	Structured Application (KASA)	
11	FDA Presentation	
12	Introduction	
13	Susan Rosencrance, PhD	28
14	Product Risk Assessment and Mitigation	
15	Andre Raw, PhD	38
16	Manufacturing Risk Assessment and Mitigation	
17	Christina Capacci-Daniel, PhD	53
18	Structure Application and Benefits of KASA	
19	Larisa Wu, PhD	65
20		
21		
22		

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Clarifying Questions	74
Questions to the Committee and Discussion	115
Adjournment	126

1 P R O C E E D I N G S

2 (8:00 a.m.)

3 **Call to Order**

4 **Introduction of Committee**

5 DR. AMIDON: Good morning. I would first like
6 to remind everyone to please silence your cell phones,
7 smartphones, and any other devices if you have not
8 already done so. I'd like to also identify the FDA
9 press contact, Jeremy Kahn. If you're present, please
10 stand.

11 My name is Greg Amidon, and I'm the chair of
12 the Pharmaceutical Sciences and Clinical Pharmacology
13 Advisory Committee, and I will now call this meeting to
14 order. We'll start first by going around the table and
15 introducing ourselves. So let's start down here on the
16 far right, of my right.

17 DR. AWNI: I'm Walid Awni. I'm the vice
18 president of clinical pharmacology and pharmacometrics
19 at AbbVie.

20 DR. COOK: Jack Cook, industrial rep, clinical
21 pharmacology, Pfizer.

22 DR. TENJARLA: Srini Tenjarla, Shire

1 Pharmaceuticals, head of pharmaceutical sciences and
2 analytical development.

3 DR. DONOVAN: Maureen Donovan, University of
4 Iowa, College of Pharmacy, professor of pharmaceutics.

5 DR. SUN: Duxin Sun, professor of
6 pharmaceutical science, University of Michigan.

7 DR. LI: Tonglei Li, professor of
8 pharmaceutical sciences, Purdue University.

9 DR. FINESTONE: I'm Sandra Finestone. I am
10 the consumer representative.

11 DR. MAGER: Don Mager, professor of
12 pharmaceutical sciences at the University of Buffalo.

13 DR. AMIDON: Greg Amidon, University of
14 Michigan.

15 CDR SHEPHERD: Jennifer Shepherd, designated
16 federal officer.

17 DR. CARRICO: Jeff Carrico. I'm the service
18 chief for clinical pharmacy and investigation drug
19 research at the NIH clinical center.

20 DR. TERZIC: Andre Terzic, director, Center
21 for Regenerative Medicine at the Mayo Clinic and
22 professor of medicine and pharmacology.

1 DR. SLATTUM: I'm Patty Slattum. I am
2 professor of pharmacotherapy and outcome science at
3 Virginia Commonwealth University.

4 DR. SMITH: I'm Paul Smith from the University
5 of Maryland, where I'm the director of the statistics
6 program and a member of the math department.

7 DR. ROSENCRANCE: And I'm Susan Rosencrance,
8 director for the Office of Life Cycle Drug Products in
9 OPQ or Office of Pharmaceutical Quality.

10 DR. YU: Good morning. Lawrence Yu, deputy
11 director, Office of Pharmaceutical Quality, CDER, FDA.

12 DR. KOPCHA: Good morning. I'm Mike Kopcha,
13 and I'm the director for the Office of Pharmaceutical
14 Quality, which is part of CDER, which is part of CDER,
15 which is part of the FDA.

16 DR. AMIDON: Thank you.

17 For topics such as those being discussed at
18 today's meeting, there are often a variety of opinions,
19 some of which are very strongly held. It's our goal
20 that today's meeting be a fair and open forum for the
21 discussion of these issues, and that individuals can
22 express their views without interruption. Thus, as a

1 gentle reminder, individuals will be allowed to speak
2 into the record only if recognized by the chairperson,
3 and we look forward to a very productive meeting.

4 In the spirit of the Federal Advisory
5 Committee Act and the Government in the Sunshine Act,
6 we ask that the advisory committee members take care
7 that their conversations about the topic at hand take
8 place in the open forum of the meeting.

9 We're aware that members of the media are
10 anxious to speak with the FDA about these proceedings,
11 however, FDA will refrain from discussing the details
12 of this meeting with the media until its conclusion.
13 Also, the committee is reminded to please refrain from
14 discussing the topics during breaks and lunch. Thank
15 you.

16 I'll now pass this to Lieutenant Commander
17 Jennifer shepherd, who will read the Conflict of
18 Interest Statement.

19 **Conflict of Interest Statement**

20 CDR SHEPHERD: Good morning. The Food and
21 Drug Administration is convening today's meeting of the
22 Pharmaceutical Science and Clinical Pharmacology

1 Advisor Committee under the authority of the Federal
2 Advisory Committee Act of 1972. With the exception of
3 the industry representatives, all members and temporary
4 voting members of the committee are special government
5 employees or regular federal employees from other
6 agencies and are subject to federal conflict of
7 interest laws and regulations.

8 The following information on the status of
9 this committee's compliance with federal ethics and
10 conflict of interest laws, covered by but not limited
11 to those found at 18 USC, Section 208, is being
12 provided to participants in today's meeting and to the
13 public.

14 FDA has determined that members and temporary
15 voting members of this committee are in compliance with
16 federal ethics and conflict of interest laws. Under 18
17 USC, Section 208, Congress has authorized FDA to grant
18 waivers to special government employees and regular
19 federal employees who have potential financial
20 conflicts when it is determined that the agency's need
21 for a special government employee services outweighs
22 his or her potential financial conflict of interest, or

1 when the interest of a regular federal employee is not
2 so substantial as to be deemed likely to affect the
3 integrity of the services which the government may
4 expect from the employee.

5 Related to the discussions of today's meeting,
6 members and temporary voting of this committee have
7 been screened for potential financial conflicts of
8 interest of their own as well as those imputed to them,
9 including those of their spouses or minor children, and
10 for purposes of 18 USC, Section 208, their employers.
11 These interests may include investments, consulting,
12 expert witness testimony, contracts, grants, CRADAS,
13 teaching, speaking, writing, patents and royalties, and
14 primary employment.

15 Today, the committee will focus on two topics
16 related to the Office of Pharmaceutical Quality's
17 priority of promoting the availability of better
18 medicine. For this morning's agenda, the committee
19 will discuss the modernization of assessing drug
20 applications through a knowledge-aided assessment and
21 structured application initiative.

22 FDA will seek input on the potential

1 enhancement of a submission format consistent with Casa
2 to improve the efficiency and consistency of regulatory
3 quality assessment. This is a particular matters
4 meeting during which general issues will be discussed.
5 Based on the agenda for today's meeting and all
6 financial interests reported by the committee members
7 and temporary voting members, no conflict of interest
8 waivers have been issued in connection with this
9 meeting.

10 To ensure transparency, we encourage all
11 standing committee members and temporary voting members
12 to disclose any public statements that they have made
13 concerning the topic at issue. With respect to FDA's
14 invited industry representatives, we would like to
15 disclose that Drs Walid Awni, Jack Cook, Srini Tenjarla
16 are participating in this meeting as nonvoting industry
17 representatives, acting on behalf of regulated
18 industry. Their role at this meeting is to represent
19 industry in general and not any particular company.

20 Dr. Awni is employed by AbbVie, Dr. Cook is
21 employed by Pfizer, and Dr. Tenjarla is employed by
22 Shire Pharmaceuticals. We would like to remind members

1 and temporary voting members that if the discussions
2 involve any other topics not already on the agenda for
3 which an FDA participant has a personal or imputed
4 financial interest, the participants need to exclude
5 themselves from such involvement, and their exclusion
6 will be noted for the record.

7 FDA encourages all other participants to
8 advise the committee of any financial relationships
9 that they may have regarding the topic that can be
10 affected by the committee's discussions. Thank you.

11 DR. AMIDON: Thank you. We'll now proceed
12 with Dr. Kopcha's introductory comments.

13 **FDA Introductory Remarks - Michael Kopcha**

14 DR. KOPCHA: Good morning, everyone, and
15 welcome. In order to kick off this meeting, what I
16 wanted to do is I wanted to give you a little bit of
17 background and understanding in terms of the Office of
18 Pharmaceutical Quality is actually hosting this
19 meeting, if you will, and give you a good understanding
20 in terms of what we do, our strategic priorities, the
21 two topics we're going to be discussing during this
22 advisory committee meeting, and just to make some

1 conclusions as well.

2 In terms of the Office of Pharmaceutical
3 Quality, pharmaceutical quality is a shared goal, and
4 as you see in the slide, that is italics because it is
5 a shared goal. It's not only FDA's responsibility,
6 it's not only the patient's responsibility, or
7 industry's responsibility; it's our shared
8 responsibility. And it's a shared responsibility of
9 assuring consistently safe and effective drugs are
10 available to patients and consumers, so not only
11 focused on patients but consumers as well because we do
12 also get involved with OTC or over-the-counter
13 products.

14 Pharmaceutical quality, or at least the way I
15 like to define it, or one of the ways I like to define
16 it, is what gives patients and consumers confidence in
17 their next dose. Confidence in what? It's confidence
18 in safety and efficacy. So it's one of the ways I
19 define quality. It's the safety and efficacy of the
20 next dose.

21 Our mission is to assure that quality
22 medicines are available to the American public. The

1 mission is that simple, but unfortunately it's not that
2 simple to execute. The vision is for OPQ to be a
3 global benchmark for regulation of pharmaceutical
4 quality. So I don't want individuals to misunderstand
5 that. It is not an arrogant statement on our part, but
6 it is a statement in terms of our vision that we do
7 want to be the global benchmark. So it's aspirational
8 for us and what we strive to do going forward.

9 In terms of the office itself, the things that
10 we're involved in or the areas we're involved in as it
11 pertains to quality is that obviously we set policy in
12 terms of how quality is regulated within the industry.
13 We do assessments or reviews of the applications that
14 we receive. We also do inspections, inspections of the
15 process of facilities that are going to be making the
16 drugs and products that we regulate.

17 We also do surveillance of the marketplace of
18 the industry to find out what the quality trends are
19 and if these trends can be mitigated if they are
20 adversely affecting the products that are either being
21 developed or that will subsequently end up on the
22 market.

1 We also do research, but the research we do is
2 not necessarily academic research. It's research to
3 help us guide our policy and to help us with the
4 assessments that we do of the applications that are
5 submitted to us.

6 If you take a look at the left of the slide,
7 we are involved in new drugs. Those are the NDAs.
8 We're involved in biologics, which are BLAs, as well as
9 generic drug products, which are ANDAs. So we cover
10 the quality for all the applications that come in to
11 the FDA.

12 We also deal with compounded drugs,
13 over-the-counter drugs as I mentioned previously, as
14 well as biosimilars. So all this comes together, and
15 this is what we work on from a quality standpoint. We
16 typically work on the CMC or the chemistry
17 manufacturing and control sections of the applications
18 that come in.

19 We get involved in the early development of
20 these products. We also take a look at the premarket,
21 and we get involved in the premarketing part of these
22 products. And that typically involves the applications

1 that come in, so as we do the assessments for those
2 applications, it's premarketing. But once those
3 products get on the market, we are responsible then for
4 doing any postmarket changes that involve those
5 products as well. So you can see the scope or the
6 breadth and depth of the work that we do is rather
7 extensive.

8 In terms of the office itself, you can see on
9 this slide how we are organized. We have the immediate
10 office, which is really more or less where the
11 administrative part of the work is done. However, we
12 also do have a science research staff, the SRS group as
13 we call it. In government, we love acronyms, so I
14 apologize for some of that. I will try to stay away
15 from as much of that as I can as I go through the
16 presentation.

17 We then have below that the Office of Programs
18 and Regulatory Operations, so this is our operational
19 group. Of course, like any other organization, we need
20 to know how we're going to operate and function. There
21 are SOPs or standard operating procedures about how we
22 do our business.

1 We also have the Office of Policy for
2 Pharmaceutical Quality. It's the office that obviously
3 helps us set up the policy around quality. The next
4 line down is our review offices, so we have the Office
5 of Biotech Products. As you can imagine, those are
6 where the biotech products are reviewed. Office of New
7 Drug Products is where the NDAs are handled.

8 We have the Office of Lifecycle Drug Products,
9 which is where the ANDAs are reviewed. And then we
10 have the Office of Process and Facilities, and as that
11 name implies, we look at the processes used to make the
12 drugs and the facilities in which those drugs will be
13 manufactured.

14 Below that, we have the Office of
15 Surveillance. The Office of Surveillance, as I
16 mentioned, helps us to surveil the industry and be able
17 to see what the industry is doing in terms of trends in
18 quality. We also have the Office of Testing and
19 Research, and that's where we do quite a bit of our
20 analytical testing as well as some other advanced
21 testing to be able to characterize the products that
22 we're asked to review.

1 In terms of our strategic priorities, there
2 are four of them. The first one is to collaborate, as
3 you could imagine. We want to strengthen OPQ's
4 collaborative organization, so this includes
5 collaboration both internal as well as external to our
6 organization, as well as to CDER and the FDA.

7 We innovate. We promote availability of
8 better medicine. When I talk about innovate, what we
9 mean here is to minimize the barriers to encourage
10 innovation within the FDA and in the manufacturing
11 sector through sensible oversight. Again, it's
12 sensible oversight. We don't want to over-regulate,
13 but we do need to have some sensible oversight over the
14 industry and the products that we are responsible for.

15 We do research, risk-based decision-making,
16 and continuous process improvements. So as in the
17 industry, we're always looking to continuously improve
18 the way in how we do things and is one of the reasons
19 why we're here today with this advisory committee.

20 The third one is to communicate. We want to
21 elevate awareness and commitment to the importance of
22 pharmaceutical quality, which is important to us. So

1 we need to effectively communicate the importance of
2 quality and that the American public can trust their
3 drugs that are on the market and that they take.

4 The fourth one is to engage. We want to
5 strengthen partnerships and engage with our
6 stakeholders. We want to really build productive
7 relationships. This way, what I look for is to have an
8 exchange with our partners or with our stakeholders
9 both within and outside of our group and within and
10 outside of the FDA.

11 In terms of the advisory committee meeting,
12 this meeting touches all of the OPQ priorities that I
13 showed previously. We collaborated in the sense that
14 we organized with effort and input across OPQ offices
15 to put this advisory together. We also collaborated
16 outside of our own organization.

17 We innovate and focus on decision-making tools
18 and resources that enable effective risk-based
19 decisions. We're going to talk about two of those
20 things today, which is the nexus of this meeting.

21 Communicate, we want to have a public meeting,
22 which is what we're here doing today, to encourage all

1 stakeholders to join FDA in its commitment to quality.
2 Then the fourth part is to engage, and we foster
3 effective engagement with external stakeholders to meet
4 the needs of the American public. So ultimately, what
5 we're all here to do is to make sure that we bring
6 quality products to our patients, to the consumers.

7 The first topic that we're going to be
8 focusing on is what we call KASA; again, another
9 acronym that we like. But it stands for computer [sic]
10 aided assessment and structured application. So my
11 house is your house. As the expression goes, "mi casa,
12 su casa," for those who know that expression more
13 specifically in Spanish.

14 KASA is a system that captures and manages
15 information, so we want to capture and manage that
16 information about intrinsic risk and mitigate
17 approaches for product design.

18 So again, this is a science-based/risk-based
19 approach that we need to take, not only around product
20 design but manufacturing and facilities. We want to do
21 that in a structured way and in a structured template
22 so that we have consistency in terms of how we review

1 those applications and what the industry or what the
2 sponsor that put that application forward can expect.

3 You can see here we start out, and this is the
4 actual house that we have. So we bring in a structured
5 application that is brought into this house, if you
6 will, or into KASA. You can see the three pillars. We
7 start out with a knowledge base, which is the product
8 manufacturing and facility of that product, and then we
9 take a look at the assessment of the risk to quality.

10 We then go into risk mitigation by assessing
11 the product design and understanding and putting that
12 against our quality standards. Then risk mitigation by
13 assessing manufacturing and facilities. Then all of
14 that then rolls up or folds up into the knowledge-aided
15 assessment that we're going to be talking today.

16 So I really don't want to go into too much
17 detail there. We'll have a chance to go into that a
18 bit later through this presentation.

19 The question that we're posing or that we want
20 to discuss is relating to the KASA initiative, should
21 the FDA considered the enhancement of submission
22 format? This is the format in which the submissions

1 come in to improve the efficiency and consistency of
2 regulatory quality assessment. So again, we're looking
3 at the quality piece, the CMC piece of it. We're not
4 talking about the clinical assessment.

5 The second question is in vitro and in vivo
6 relationships, IVIVR. We want to take a look at
7 extended-release solid oral drug products. There is a
8 critical need to develop predictive dissolution tests
9 to be used as effective surrogates to establishing the
10 clinically relevant quality standards.

11 We've struggled with this for a long time. I
12 remember when I was in pharmacy school and then when I
13 went through graduate school, this issue still lingers.
14 And it's something that we really do want to start
15 discussing today and start getting some feedback in
16 that area as well. The question is, should FDA
17 established patient-focused dissolution standards for
18 extended release solid oral products?

19 In conclusion, we do have a shared
20 responsibility as I mentioned in the beginning, and
21 this is with a focus on patients so that together we
22 can provide them confidence in their next dose. And

1 again, it's confidence in the safety and efficacy of
2 those products that are out on the market.

3 I thank you for the opportunity to introduce
4 our PQ and the topics for today's discussion. I'll
5 turn it back over to Dr. Amidon.

6 DR. AMIDON: Thank you. We will now proceed
7 with the FDA presentations beginning with
8 Dr. Rosencrance.

9 **FDA Presentation - Susan Rosencrance**

10 DR. ROSENCRANCE: Good morning, everyone.
11 It's my pleasure to have this opportunity to speak with
12 you about the KASA Initiative or knowledge-aided
13 assessment and structured application. I'm going to
14 give introduction, some background information, and
15 talk about KASA at a high level. Then Andre Raw, who
16 I'm going to credit as being a major driver and strong
17 force behind KASA, is going to talk about product risk
18 assessment and mitigation as it's connected with KASA.

19 Christina Capacci-Daniel will talk about
20 manufacturing risk assessment and mitigation under the
21 KASA Initiative. And then Larisa Wu will conclude by
22 talking about the structured application and wrap up on

1 some of the overall benefits of KASA.

2 The beginning introduction, you already saw
3 the OPQ mission and vision statement from Dr. Kopcha.
4 This slide is just to emphasize that quality is our
5 focus. How can you assure a patient that their drug is
6 safe and effective if you can't assure that quality is
7 the underpinning behind it?

8 In OPQ, we do what we call a quality
9 assessment. It's like in CMC review, but it also
10 includes microbiology, biopharmaceutics, as well as
11 information about facilities. This quality assessment
12 determines whether a drug's formulation, the
13 manufacturing processes, and the facilities will
14 deliver a safe and effective medication for patients.

15 In OPQ, our quality assessment is done with a
16 group of experts, and we do it that way so we can have
17 better decision-making. All the different experts come
18 together, different disciplines. You can see in the
19 blue box here all the different disciplines that work
20 together to generate what we call an integrated quality
21 assessment, which is based on risk, knowledge, and the
22 impact to the consumer.

1 Also in OPQ, we strive to maintain a
2 life-cycle focus on quality covers pre- to
3 postmarketing. We want to ensure that all the programs
4 that we support -- NDAs, ANDAs, BLAs, all meet the same
5 quality standards. We hold them to the same standards.
6 And in order for us to do this effectively, it really
7 requires good knowledge sharing.

8 Now, we have a number of external challenges
9 as well as internal challenges. Let me start with the
10 external challenges. We in OPQ are getting an
11 ever-increasing volume of new applications. Just on
12 the generic side alone, we see approximately a thousand
13 applications a year.

14 We also have increased user-fee program
15 expectations. For example, in GDUFA II for the generic
16 program, some of our ANDAs are receiving tighter
17 timelines, from 10 months to 8 months for review.
18 There are always expectations from the commissioner,
19 Congress, the pharmaceutical industry, and the public.
20 And of course there are technology advancements going
21 on, lots of tools available in the 21st century.

22 Internal challenges, the biggest one that I

1 see is that our quality assessment right now is a
2 freestyle narrative, meaning that it is unstructured
3 text. It's essentially a summarization of the
4 application that the firm submits. It also includes a
5 lot of copy and paste data tables.

6 Essentially, the reviewers or the assessors
7 are just capturing the story of the application.
8 They're also assessing and making judgments, but
9 they're telling a narrative. We see this is as a
10 roadblock because it's encumbering breast practices for
11 knowledge sharing, something that's very critical for
12 OPQ; for managing quality across the product lifecycle,
13 also part of our objective; and it's hindering overall
14 modernization.

15 To give you an example of how our quality
16 assessment works today, there's a template for the
17 quality assessment. The template contains multiple
18 sections, and in each section there are subsections.
19 These subsections contain questions or points for the
20 assessor to focus on.

21 What I've done here is just extracted two
22 sections from our quality assessment template. P.2 for

1 the pharmaceutical development, this is what that
2 section would look like when the reviewer picks it up.
3 You can see it's broken down into three subsections,
4 one about formulation development; one about container
5 closure; and another about compatibility. Then there
6 are points under that for the assessor to address.
7 Another example here is P.5, control drug product,
8 again, broken down into three different sections with
9 points for the assessor to evaluate.

10 So our quality assessment template actually
11 includes 7 sections for the drug substance and 8
12 sections for the drug product. All these have
13 subsections under them. There's a section for regional
14 information in case the firm provides a comparability
15 protocol or something like that. Then there's also a
16 section where labeling information is captured.

17 You can see you have 15-plus sections here
18 with subsections under each. The template alone is
19 very, very long.

20 Right here what I've done is just pooled out
21 an example of the template filled out for the P.2
22 pharmaceutical development section. You can see here

1 the reviewer is just capturing information about the
2 drug substance. Perhaps that table there was something
3 they copied and pasted from the application.

4 But if you look at this, it's just really a
5 freestyle narrative in unstructured text. I counted
6 the pages in this particular example. P.2 in this
7 review, or this quality assessment turned out to be
8 9 pages long. So you can see if you have 15-plus and
9 if each section is that long, you easily end up with a
10 document that's 100-plus pages.

11 The challenges with this current state where
12 we are now, a narrative-based quality assessment means
13 that the risk assessment we do initially when we pick
14 up an application and the evaluation of the applicant's
15 mitigation approaches gets really lost in this lengthy
16 100-plus page document.

17 It's dispersed throughout, and there's no real
18 easy way to capture it, then knowledge management
19 becomes very cumbersome for us, and the assessor's
20 ability to objectively compare relative quality and
21 risk across drug products and facilities becomes
22 hindered. Then you get a quality assessment that tends

1 on the side of being subjective because the reviewer is
2 just focusing on what they have at hand and their
3 expertise.

4 It can also lead to inconsistent application
5 of quality standards, something we don't want to see.
6 And probably most critical of all, it can lead to late
7 intervention and preventing or addressing a drug
8 shortage or quality failure of a marketed product. It
9 makes OPQ reactive instead of proactive.

10 So we see a real need for change. We
11 recognize we have to modernize. We're assessing doing
12 an assessment using 20th-century techniques and tools.
13 There are lots of new better tools available to us that
14 are 21st century. We need to move in that direction
15 and take advantage of it.

16 So we've been actively working on creating and
17 developing this system called KASA, knowledge-aided
18 assessment and structured application. You saw the
19 pictorial diagram that Mike showed. It's loosely based
20 on a Greek temple, but I'll explain that a little bit
21 more in a couple of more slides here.

22 I first want to tell you what the KASA system

1 is being designed to do. It's being designed to
2 capture and manage knowledge for OPQ, the agency. For
3 example, knowledge like established conditions, a
4 concept that's captured in ICH Q12. It's also being
5 designed to establish rules and algorithms to
6 facilitate risk identification, mitigation, and
7 communication for the drug product as well as
8 manufacturing process, and for the facilities.

9 It's being designed to perform computer-aided
10 analyses of applications so a reviewer can compare the
11 standards and compare the quality risk across all the
12 approved drug products and facilities in our
13 repository. And it's being designed to give a
14 structured assessment, and that way we can radically
15 reduce that text-based narrative approach and the
16 tend [ph] or the need to summarize information from the
17 application.

18 So let me explain this diagram a little bit
19 more because it really sets the stage for the next
20 three speakers. We created this to capture the overall
21 understanding so we could explain it for people to more
22 easily understand.

1 If you look at the bottom of the house or this
2 Greek temple, the idea here is that when an application
3 comes in, we review it or assess it in the context of
4 the knowledge base that is available to us in the
5 agency, the vast repository of information we have
6 about approved products, manufacturing, and facilities
7 out there.

8 The three pillars represent the major
9 components of KASA. Pillar 1 addresses the assessment
10 of risk to quality by establishing rules and
11 algorithms. Remember I mentioned that KASA is being
12 designed to do. Pillar 2 gets to the drug product
13 assessment and the quality standards, and looking at
14 the risk mitigation approaches being employed by the
15 firm. Pillar 3 gets at the assessment of
16 manufacturing, facility, and the link with performing
17 preapproval inspections.

18 Andre Raw is going to talk more now about
19 Pillar 1 and Pillar 2, and Christina will talk about
20 Pillar 3. This house or KASA system will work like
21 this. We'll be able to achieve that goal of having a
22 structured quality assessment, and we'll be able to

1 have knowledge management to look at the repository of
2 information available to us.

3 Larisa Wu is going to talk to you about the
4 idea of a structured application from the industry.
5 This would be an application that could connect with
6 the KASA system, that could talk to it, that could feed
7 it information. And this would really take the whole
8 initiative to the next level. Think about the
9 enhancement this would provide to KASA.

10 So with that thought, I'm going to turn it
11 over to Andre Raw, who is now going to talk a little
12 bit more about Pillars 1 and 2.

13 DR. YU: Excuse me. Could I have a question
14 here? Before we move to the next topic, I don't know
15 if the committee has any clarifying questions they want
16 Susan to answer. Thank you.

17 DR. AMIDON: I think what we'll do is hold our
18 questions until the end if that's okay. Let us know,
19 and we'll recognize you, and you can direct your
20 questions at that time.

21 DR. YU: Thank you.

22 DR. AMIDON: Yes. Thank you.

FDA Presentation - Andre Raw

1
2 DR. RAW: I'm going to talk first about the
3 Pillar 1, which is the assessment of risk, the initial
4 assessment of inherent risk using established rules and
5 algorithms, and what we have done within this
6 framework.

7 The first question is why do we even do a risk
8 assessment? We all know that drug products have
9 failure modes, and based upon their design and
10 manufacturing, that could result in a substandard
11 product. So we obviously need to assess those failures
12 modes to ensure that they're appropriately mitigated.

13 But we can't look at everything with extreme
14 detail because it's the question of limited resources.
15 We have an ever-increasing number of applications. We
16 had a lot of generic applications, but we also have a
17 lot of new drug applications. And with those
18 applications, we have very tight GDUFA and PDUFA
19 timelines that we need to meet. So it's a question of
20 limited resources. We don't have infinite resources.
21 We can't look at these applications forever, so we have
22 to make these decisions.

1 With that, we have to develop risk approaches
2 so we can focus on those failure modes of the product
3 that really have the greatest chance that could result
4 in product failure or potential harm to the patient.
5 That's why we essentially do the risk assessment.

6 Before I talk about the risk assessment, I
7 want to make sure that we're talking the same
8 vocabulary so we don't lose each other in this
9 discussion. What I want to talk about is something
10 called the critical quality attribute.

11 A critical quality attribute is a physical,
12 chemical, or biological property, or characteristic of
13 the drug product that needs to be within an appropriate
14 range or limit in order to ensure product quality.
15 Examples of critical quality attributes are like assay
16 or content uniformity, purity limits, or dissolution.

17 That's actually a very important concept that
18 I want to lay out because when we developed our risk
19 assessment models -- there are many approaches to do
20 it, but we decided not to say that this product is high
21 risk or this drug product is low risk because that's
22 very hard to do because a product has many critical

1 quality attributes.

2 One product could have one critical quality
3 attribute that's very high risk and all the other ones
4 are very low risk. So when we developed the
5 algorithms, we didn't focus on developing the
6 algorithms to determine the overall product risk. We
7 developed an algorithm that would focus on the CQA
8 risk.

9 Basically, when we developed these algorithms,
10 we would first determine what are the CQAs associated
11 with that product. And once those CQAs were
12 determined, we would rank the CQAs as high, medium, or
13 low risk. And of course with the high risk CQAs that
14 have an inherently high risk, there's the expectation
15 that our assessment should pay very careful scrutiny to
16 the formulation design, the process design, and the
17 sampling control strategy to insure that risk is
18 mitigated to an acceptable level before approval.

19 Of course, if the initial risk assessment
20 indicates that it's very low risk, probably there will
21 be a more abbreviated -- less scrutiny on the
22 mitigation strategies. So that's basically the concept

1 of risk-based review and how we're using it in terms of
2 the CQAs.

3 We were looking at a lot of tools that we
4 could use. And the two that we decided to use was the
5 failure modes, effects, and criticality analysis tool,
6 which I'm going to call FMECA for short in the next
7 part of the talk.

8 Basically, it's an algorithm that is used to
9 capture the initial inherit risk of the CQA, and it
10 basically allocates the risk of the CQA based upon a
11 risk priority number. This risk priority number is
12 calculated by three factors. It's calculated based
13 upon the probability of occurrence, the detectability,
14 detecting that failure, and the severity of harm
15 potentially to the patient.

16 I'm going to give you an example of content
17 uniformity. That's probably the easiest one to
18 illustrate that concept. If you have a very low dose,
19 which we have micrograms of the drug, a 1-gram tablet,
20 the inherent probability of a content uniformity
21 failure would be on the higher scale using our
22 algorithm. It would probably be using a 3 to 5 scale

1 because without any knowledge of risk mitigation,
2 that's the inherent risk of the product. But
3 conversely, if it was a high-dose drug and what's
4 mostly active is the tablet, of course the probability
5 of content uniformity failure would be on the low end.

6 For detectability of failure, we have a
7 low-dose drug, and we use the traditional USP 905
8 content uniformity sampling in which we sample 10
9 tablets or 30 tablets out of a million tablets. The
10 detectability of detecting a failure is actually pretty
11 low, so the detectability would have a high score here.
12 But if we're doing stratified sampling and we're
13 looking at lots of tablets, obviously detectability of
14 potentially a substandard product would be increased,
15 so the score potentially would be on the lower scale.

16 The severity relates to the -- it's something
17 that we can't control in terms of quality, but it's an
18 inherent aspect of the active ingredient. If the
19 active ingredient is an NTI drug, then variations in
20 content uniformity could definitely have a very
21 significant impact on the patient. However, if it's a
22 drug with a wide therapeutic window, the variations in

1 content uniformity would have a much lesser impact on
2 the other patient. So we would make the score
3 according to that scale, 5 obviously for the NTI and a
4 much lower score for the ones that have a wide
5 therapeutic window. That's basically the idea of the
6 algorithm that we use.

7 There is also another reason we chose FMECA.
8 The idea is that when people were doing reviews -- in
9 our paradigm, we have lots of experts, but people have
10 different trainees, have different biases, and have
11 different perceptions of risk. While one reviewer may
12 look at one application and make one perception of
13 initial risk, another reviewer may have another system
14 and may have a different perception of risk. So there
15 were a lot of inconsistencies in these risk
16 assessments.

17 The reason we like FMECA is because of its
18 objectivity and scoring. As I told you, there's a 1 to
19 5 scale, so we can assign scores of 1 to 5 based upon
20 certain attributes of the product, which I already
21 talked to you, using that example of the content
22 uniformity. We can assign those things. What that

1 means is that an assessor, regardless of their
2 background, they can use that scoring system and come
3 up with the same score regardless of the assessor. So
4 that really provides for consistency in our risk
5 assessment.

6 Then the other thing that we also did, which
7 really gives me a lot of confidence in this FMECA
8 system, is we took about 30 or 40 products, and we did
9 an informal risk assessment. It's more subjective, but
10 there's no scoring system. Then we compared the
11 results with our FMECA ecosystem, and we could see that
12 there is very good correspondence through these wide
13 variety of products.

14 So that sort of validates the scoring model we
15 used in the FMECA for ascertaining these initial risks
16 for the CQA with its cross-validation study. And of
17 course the algorithm is continuously going to be
18 approved based upon additional information and gathered
19 as we're proceeding along in this journey.

20 Risk management is now really part of our
21 review. This is something that we adopted a few years
22 ago. The initiative was adopted for the generic

1 program because we had lots of applications. But
2 similarly, it was a very successful model, and even the
3 new drug program adopts this model. So in every single
4 application, we use the FMECA scoring paradigm to
5 ascertain the initial risk for these CQAs. I just want
6 you to all know this background.

7 That's the first part, which has been very
8 successful and we have been implementing over the past
9 few years. Pillar 2 talks about structured mitigation
10 and quality standards. Basically, what's the idea of
11 risk mitigation? When we do the FMECA, we only focus
12 on the inherent product risk without any consideration
13 of how the product was designed or the sampling
14 strategy.

15 You take an example of the content uniformity,
16 a very low dose, micrograms, gram tablets, and it's an
17 NTI drug. The inherent risk is going to be calculated
18 as high using our FMECA. But that doesn't take into
19 consideration the sponsor's process of understanding in
20 relation to averting this potential failure mode based
21 upon formulation design or process design.

22 You could have something inherently high risk

1 by FMECA, but following this mitigation, it can be
2 mitigated to a very, very low level. So what we do is
3 have our reviewers evaluate that mitigation strategy,
4 particularly for the higher risk CQAs. We obviously
5 want them -- severity we can't change. Severity is
6 inherent to the API, but we obviously want sponsors to
7 decrease the probability of occurrence based upon
8 robust formulation design and process understanding.

9 If they have the wide operating space, those
10 types of things decrease the probability of the
11 occurrence of that potential failure, or alternatively,
12 or it could be a combination, the other is to increase
13 the probability detection of a failure so we could have
14 an enhanced sampling detection strategy. So those are
15 the ways by which we expect people to mitigate risk
16 before we improve the product.

17 When we initially implemented this, we
18 implemented with structured initial risk assessment but
19 unstructured risk mitigation. So basically we use this
20 algorithm. It provides the review staff with a
21 starting point to look at the CQAs that are very high
22 risk, and then we would have the reviewers talk about

1 the risk mitigation.

2 I really want to stress what Susan had
3 previously mentioned, is that when we did that
4 analysis, the risk mitigation, we found that there was
5 a high dependency on reviewer opinion and expertise, is
6 how it was mitigated. The other thing is that that
7 risk mitigation was interspersed into narrative text.
8 So it was very hard to retrospectively analyze.

9 Actually, that was somewhat of a problem for
10 us because one of our missions is to have an
11 understanding of the final product risk, final risk
12 mitigation of our drug product repertoire across the
13 product line, and to compare products, and to see which
14 products are higher risk. So they would need more
15 surveillance scrutiny or more scrutiny throughout their
16 life cycle. But it was very hard to do with this
17 unstructured approach.

18 What we decided to do was develop something
19 called structured risk mitigation. This is a very
20 important theme of this KASA concept, which will be
21 discussed also later. But the thing is you have CQA
22 distribution impurities. You have the initial FMECA

1 algorithm determining whether it's low, medium, or high
2 risk. Then when we talk about the risk control or the
3 risk mitigation, what we decide is instead of moving
4 from unstructured text, which is very hard to use for
5 comparing products, we decided to have almost like
6 structured information. So we'd have a drop-down.

7 So basically, if you have high risk of
8 dissolution, there are not a number of ways by which
9 people can mitigate dissolution. We felt we could
10 capture all the orthogonal conceptual ways that people
11 can mitigate dissolution through these drop-down menus.
12 So when our assessors would look at the application,
13 everyone would be on the same page, and they would
14 select the appropriate drop-down menu associated with
15 that risk mitigation strategy.

16 We did this actually for all the CQAs. This
17 puts everyone on the same page and provides consistency
18 of our evaluation of risk mitigation, which is very
19 important for various reasons.

20 Basically, the idea is basically using these
21 descriptors of structured knowledge, of formulation
22 design, and control strategy using these drop-down

1 descriptors. This is very, very important. In line
2 with that, the way that would work would be you would
3 have the CQA and the risk. They would select the
4 drop-down, which would be the fundamental approach.
5 And then they would explain how that fundamental
6 approach was specifically applied to the NDA or ANDA in
7 question. And instead of cutting and pasting, they
8 would provide the supporting information link to the
9 page and the electronic submission.

10 To give you an example, CQA dissolution, it's
11 an NTI drug, amorphous dispersion, BCS class 2, clearly
12 high risk from our algorithm. So the FMECA would say
13 it's high risk. Then you have a drop-down menu. One
14 drop-down menu could be did they optimize the API
15 polymer ratio to stabilize the amorphous dispersion.
16 That's a conceptual approach. They would select that
17 from the drop-down menu, and then the reviewer would
18 explain which carrier was used, which ratio was used,
19 and how it was optimized.

20 There would be some discussion about that, but
21 it would be very brief. And all this reporting
22 information would be linked to the application with a

1 link to the direct page of the application. As a
2 matter of fact, we already have a prototype of the
3 software that can really do this, and we're currently
4 even piloting this within our review framework right
5 now.

6 So that's how it works. This is a very
7 powerful tool. Not only does it promote consistency,
8 but more importantly, I would say it also promotes
9 knowledge management because, remember, we could have a
10 generic product and a brand name product. They all
11 have a CQA, and let's say the CQA is assay. It's very
12 prone to degradation, and it's an NTI drug.

13 The algorithm, would flag it as high risk, but
14 then we want to know a question repertoire of products
15 following the risk mitigation, which products are
16 higher risk and which ones are lower risk. Right now
17 it's very hard to do, but using this tool, we'll be
18 able to do that. The reason we'll be able to do that
19 is because now we would have that information in highly
20 structured form.

21 For example, let's say we compare an NDA and
22 two generics of the same product line. We would see

1 that, in this case -- this is just for illustration
2 purposes only. In this case, the NDA, you can see that
3 they invoked three fundamental strategies in product
4 design to mitigate that approach, and of course they
5 used the traditional product-release testing; whereas
6 at the other extreme, we could have a generic -- I
7 mean, this is just for illustration purposes.

8 They could have just a traditional release
9 strategy, and of course detectability of that is not
10 very high. So you can see that in this case there is a
11 significant amount of risk mitigation designed to the
12 product and confirmed by testing, whereas in this one
13 there's minimalistic.

14 Basically, what we can do is that when we want
15 a retrospective look at these products, we would know
16 which products need the appropriate regulatory
17 oversight by our surveillance officer or throughout the
18 drug product life cycle. This really provides a basis
19 for our knowledge management to allocate risk in our
20 repertoire of drug products and allocated resources
21 based upon risk in our drug product repertoire.

22 When we were developing the software, which

1 we're currently piloting, we also thought maybe we can
2 also do this for drug product standards because I think
3 it's an awesome match. When we make some decisions
4 about drug product standards, there are also some
5 inconsistencies. When people make decisions about
6 acceptance criteria, and we would expect this to be
7 structured information -- I think Larisa will allude to
8 this later on -- for example, when we make a decision
9 about impurity limit, when people would make a decision
10 about impurity limit, again, it would be narrative
11 text, unstructured information, and it's prone to
12 inconsistencies. But with this, we can have the
13 drop-down menu of fundamental approaches by which
14 people make those decisions. And of course they would
15 provide how that applies to the application and the NDA
16 or ANDA of interest and supporting information.

17 Especially with the structured accepted
18 criteria, this provides, really, the beginning of
19 something, which is called cause informatics.
20 Basically, the idea is that once we have those
21 structured acceptance criteria with the rationale, we
22 would have a database of the approved tolerances across

1 ANDAs and NDAs for that particular attribute; let's say
2 an impurity limit.

3 Actually, that's a very powerful tool because
4 when there is a pending generic or another, we can
5 compare it to that database of tolerances for that
6 particular acceptance criteria. And if it falls within
7 the tolerances, it should be considered generally low
8 risk. The reviewer doesn't need to be very worried
9 about it as much. But if it falls outside the
10 tolerances, it doesn't mean it can't be approved but
11 definitely requires more scrutiny in the product. It's
12 sort of akin to the Turbo Tax. It provides you an
13 additional computer-aided means by using a broader
14 database for more rational decision-making for the
15 assessor staff.

16 With that, Christina is going to talk about
17 the same concept but used for manufacturing and
18 facility with mitigation.

19 **FDA Presentation - Christina Capacci-Daniel**

20 DR. CAPACCI-DANIEL: Great. Thank you very
21 much, everyone. It's a pleasure to be with you today
22 as well. I wanted to first return back to our house

1 model just to summarize where we've gotten to this
2 point, discussing inherent assessment of product risk
3 through our Pillar 1; Pillar 2 looking at drug product
4 design, mitigation approaches; and now moving on to
5 Pillar 3 where we're looking at manufacturing risk and
6 mitigation approaches specifically for manufacturing.

7 As Andre brought up in the previous
8 presentation, there are both design and manufacturing
9 elements in pharmaceutical risk. We can mitigate some
10 of these through product design, but we also need to
11 focus on what sort of strategies are used to mitigate
12 manufacturing risk.

13 Conceptually, as we've seen already, once a
14 high-risk CQA is identified, an assessor could select
15 from standardized control approaches or mitigation
16 approaches, and thereby a demonstration risk has been
17 mitigated through that mechanism and is now low
18 residual risk in this example.

19 Manufacturing risk has several components I
20 want to provide a little more detail on. What is
21 manufacturing risk mitigation? A manufacturing process
22 is generally considered well understood and controlled

1 when all critical sources of common cause variability
2 are identified explained; variability is managed by the
3 process at all scales through successful implementation
4 of the control strategy; and process performance and
5 product quality attributes can be adequately and
6 reliably monitored and controlled.

7 We think more critically about how this is
8 operationalized, particularly the last example; the
9 ability to monitor and control the process includes
10 design of the control strategy, process parameters,
11 testing strategy, as well as the implementation of
12 those elements-added facility, which will be conducting
13 the actual unit operations, monitoring the process, and
14 manufacturing the product on a day-to-day basis
15 throughout its life cycle.

16 So with that in mind, we can see manufacturing
17 risk actually breaks down into two different
18 components. One would be the process, including
19 process understanding and development, the design and
20 control strategy, and the second would be the facility.
21 This is the implementation of that control strategy and
22 implementation of other broader control strategy

1 elements, part of their GMP controls at a facility.

2 Including these into our assessment approach
3 allows us to document all the different risk mitigation
4 approaches that can be selected to mitigate a high CQA
5 risk.

6 We've discussed so far risk in the context of
7 critical quality attributes. The manufacturing process
8 physically is material transformation through multiple,
9 sequential unit operations. Each of these unit
10 operations may impact one or more critical quality
11 attributes. So when we discuss about mitigating
12 manufacturing risk, we look then at the unit operation
13 and how those risks are mitigated through the design
14 and implementation of that unit operation and that
15 residual impact to critical quality attributes.

16 Conceptually, returning to this layout again
17 of how an assessor may think about a manufacturing
18 process and mitigating that risk, beginning with the
19 critical quality attribute here, content uniformity,
20 there's going to be an initial assessment of risk.
21 There's a connection then to what unit operations
22 impact that critical quality attribute. And then from

1 unit operation, you can break down into both process
2 and facility elements and those respective mitigation
3 approaches.

4 Whereas for process risk mitigation, this may
5 return to process understanding development, design of
6 the control strategy, and established conditions, the
7 specific parameters that will be used for that process,
8 if we look at the facility elements, this may include
9 the implementation, equipment, procedures, and other
10 larger GMP elements of the control strategy that are
11 used to mitigate that CQA risk for that unit operation.

12 Thinking about an assessor's thought process
13 as they approach this type of KASA initiative, they're
14 determining whether a process as described in a
15 submission and for a proposed facility can reliably
16 manufacturer quality material. One of the
17 considerations here are understanding potential failure
18 modes with these unit operations and intrinsic risks in
19 manufacturing that product.

20 Some of the potential drop downs, these
21 structured risk mitigation approaches that KASA would
22 capture relate to process elements such as

1 understanding obtained through development and the
2 extent of the development studies submitted in a
3 application; also looking at the design of the control
4 strategy; what process parameters have been selected
5 and the rationale for that; as well as in-process
6 tests.

7 Some of the facility elements then would be
8 looking at procedures, equipment, sampling plans, other
9 material controls and elements that will be implemented
10 as part of a broader GMP systems-based approach at the
11 manufacturing facility. And I'll go on to provide some
12 more detail on this.

13 In summation, thinking about the advantages of
14 taking this approach, this allows the recognition and
15 the capturing of risk mitigation approaches. You can
16 see taking the standardized approach allows for a tool
17 that enables really consistent risk determination
18 across a variety of product types and a variety of
19 facilities.

20 The risk determination can then be compared
21 and risk mitigation approaches can be compared across
22 applications for consistent and standard regulatory

1 decisions. This also impacts the identification of
2 deficiencies and IRs so that standard criteria are
3 applied across all applications.

4 Looking at residual risks as it relates to
5 manufacturing processes, this also helps identify
6 preapproval inspections, an opportunity to evaluate a
7 process and a facility at the site of manufacture to
8 understand the implementation of those risk mitigation
9 strategies.

10 As I mentioned again, a broader comparison
11 between products within a facility and comparison of
12 products between different facilities is enabled by
13 having this type of structured assessment. And then
14 with that understanding of broader residual risk across
15 products, you can then use that as a prioritization
16 factor and identify surveillance resources that will
17 most benefit and address risk to patients.

18 I want to walk graphically through a schematic
19 example of how this might look within the assessment
20 process. As an example, we have three conceptual
21 applications, which are each high risk for content
22 uniformity, which can be attributed back to control of

1 the blending unit operation, so we'll just be looking
2 at blending.

3 An assessor has an opportunity then to think
4 about what sort of process mitigation approaches have
5 been selected and what sort of facility mitigation
6 approaches have been selected for each of these
7 applications.

8 From a preset set of descriptors, the assessor
9 is able to select what approach. They're color coded
10 here just to indicate the effectiveness or the extent
11 to which those mitigation approaches are used to reduce
12 risk. But you can see that comparison can be made
13 between the applications very easily. For instance,
14 you could understand how the control strategy was
15 designed and selected for similar products.

16 With an automated capture of process
17 parameters and with additional computer elements to
18 support the KASA, an assessor would be able to drill
19 down into these mitigation approaches and easily
20 compare what mitigation approach has been selected for
21 the three different applications. So we have
22 established conditions captured and readily available

1 for broader regulatory knowledge management.

2 Likewise, we can compare facility risk
3 mitigation approaches. I'm going to go into a little
4 more detail about this since the understanding of
5 facility risk considers elements that may not
6 necessarily be submitted in an application. This will
7 also include knowledge about a facility's history and
8 capabilities.

9 A facility risk mitigation is going to
10 consider the ability of the manufacturer to support and
11 control the continued performance of these proposed
12 operations. This can include, fundamentally, if the
13 site has any knowledge of these operations, any
14 history, and any experience, and we'll be using that to
15 influence and select the appropriate mitigation
16 factors.

17 Additionally, we're considering inspectional
18 evidence with similar products, experience with that
19 unit operation, including both cases where there may
20 have been deficiencies controlling these operations and
21 how corrective actions may have been implemented.

22 Another element would be quality oversight and

1 the site's adherence to current good manufacturing
2 practices, which encompasses the larger quality system
3 and how the site itself operates. Then lastly, we
4 would also consider lifecycle management and these
5 quality signals related to similar marketed products.
6 This can include field alert reports, recalls, or other
7 regulatory actions.

8 In considering the process mitigation
9 approaches, the facility mitigation approaches, there's
10 an identification of the updated risk associated with
11 that unit operation for that application. There will
12 be cases where the identified mitigation approaches are
13 not sufficient. There are still residual risk, and
14 those will be used to flag cases where preapproval
15 inspections would be necessary.

16 Preapproval inspection is recommended in these
17 cases to resolve any of these remaining process and
18 facility risks. Because the KASA is so structured and
19 we're using these predefined criteria, we can also use
20 KASA flags and algorithms to identify the risk-based
21 preapproval based on multiple factors, complexities,
22 and using really standardized risk thresholds. This

1 allows for consistent comparison across a variety of
2 product types and a variety of facilities.

3 Following the preapproval inspection, the
4 facility and process risk are reexamined and updated if
5 there's any additional information that mitigates the
6 initial high risk. You can see here the updated
7 scores. At the end, the final residual risk is
8 recalculated based on that new information, and the
9 assessment is near completion at this point.

10 I want to flag, though, there will be cases
11 where the manufacturing risk, that implementation is
12 used to largely mitigate risks, so it's relying heavily
13 on those on-site implementation elements. There are
14 also going to be cases with some residual risks. Those
15 two scenarios are then flagged for lifecycle knowledge
16 management.

17 With this final understanding of unit
18 operation as it relates to CQA risk, we can now feed
19 that into a regulatory lifecycle risk management
20 program. The KASA now allows us to standardize and
21 capture this residual risk and feed that forward into
22 our surveillance activities.

1 As I mentioned, we can see products where risk
2 is largely mitigated at the facility itself. We can
3 also see higher residual risk products by comparison,
4 and this allows us to have more robust surveillance
5 inspections that focus on those products with residual
6 risk where onsite controls are most needed to ensure
7 product quality and adherence to CQAs. In this sense,
8 we're getting very efficient regulatory oversight and
9 focusing on the highest residual risk products.

10 Just as a graphical representation of this,
11 you can compare within the red there three similar
12 products to understand their residual risk. But we now
13 place them in the context of their manufacturing
14 facility and understand how they rank relative to other
15 products at that facility and how facilities compare to
16 each other based on their remaining products and those
17 remaining risks associated with the products that they
18 commercially manufacture.

19 I hope I've been able to provide a general
20 summary of how we're approaching manufacturing risk,
21 how we would apply risk mitigation approaches, and it's
22 part of our assessment process.

1 With that, I'd like to invite up Larisa Wu to
2 talk about the structured application as well as
3 additional benefits to what I've already addressed.
4 Thank you.

5 **FDA Presentation - Larisa Wu**

6 DR. WU: Thank you, Christina.

7 Good morning, everybody. It is my pleasure
8 this morning to talk to you about the structure
9 application and conclude the KASA presentations today
10 with some recap of the benefits of KASA.

11 As Susan already mentioned, the KASA as a
12 system can function on its own, as it is. However,
13 looking into the future, the KASA system would greatly
14 benefit if applicants would submit a structured
15 application with a more organized layout and
16 standardize the data that would integrate with the KASA
17 system and would enhance the knowledge-aided
18 assessment. So I will spend the rest of my
19 presentation talking a little bit about our vision for
20 a structured application and how a structured
21 application will enhance the knowledge-aided assessment
22 of KASA.

1 We see structured application as being, if you
2 want, an evolution, not a revolution. The current
3 submission at FDA, the current drug application
4 submission at FDA uses the so-called eCTD format,
5 electronic common technical document format. I will
6 talk a little bit more about the background of this
7 format.

8 Although the eCTD has its benefits, it also
9 has its challenges. We currently explore new ways of
10 having an application submitted to FDA. And of course
11 a structured application is one way. We believe such
12 an application, like I said, would enhance the current
13 system that we have with KASA.

14 We're also looking into leveraging some of the
15 efforts that FDA has undertaken, one of which being
16 pharmaceutical quality, chemistry, manufacturing, and
17 control, the so-called PQ/CMC project, that is looking
18 into standardizing data and how that would be able to
19 be submitted in a structured application. So I will
20 talk a little bit about this project in the later
21 slides.

22 I mentioned the electronic common technical

1 document as being the current format for the
2 submissions at FDA. The eCTD was developed by ICH, to
3 streamline variability of submission requirements among
4 Japan, European Union, and USA. It is, like I said,
5 the preferred way of submitting applications at CDER
6 since 2008.

7 An application in an eCTD format collects
8 quality, safety, and efficacy information into a common
9 format that has been adopted by ICH regulatory
10 authorities. An eCTD application has a modular
11 structure comprised of 5 modules as shown in the
12 figure. For the purpose of today's presentation, we
13 will focus of course on the information that is being
14 submitted in module 3, the quality data. This
15 information relates to process and product
16 understanding, and it constitutes, as Susan mentioned,
17 the so-called CMC submission.

18 I mentioned despite the benefits -- and of
19 course the most obvious benefit of an eCTD application
20 is that it comes in an electronic format. However,
21 these type of applications also have their own
22 challenges. From the point of view of an applicant,

1 the eCTD application does not follow the development
2 flow of the drug product. It also varies in levels of
3 granularity with information being fragmented across
4 multiple sections in the application.

5 From the point of view of the assessors, of an
6 OPQ assessor if you want, the format of an eCTD
7 application is challenging because it contains multiple
8 PDF files, text-based PDF file with unstructured data
9 that are not easily mined or searched. That of course
10 leads to various challenges in life-cycle management
11 because it is difficult to link data across a product
12 line or a product life cycle.

13 Of course it was mentioned earlier today by
14 Susan that these type of lengthy PDF files often times
15 lead to lengthy assessments done at the FDA as well as
16 redundancy between different assessments.

17 The current KASA system would greatly benefit
18 if the applicants, as I mentioned, would submit a
19 structured application. What we do currently is we're
20 using, of course, the submissions, but the OPQ assessor
21 is basically taking the unstructured data as extracted
22 from the eCTD submission and is manually entering that

1 data into the KASA platform.

2 That model, of course, is not ideal because it
3 leads to possible errors from transcribing data from
4 the PDF files into the KASA platform, as well as it is
5 very inefficient. Imagine the time that the assessor
6 is spending actually copying, pasting that data or
7 typing that data into the platform. It is basically
8 part of the time that the assessor is given to assess
9 that application and could be better used to look at
10 the high-risk areas in the application instead of
11 basically performing some sort of administrative tasks.

12 So we're hoping that this current model can be
13 changed through the introduction of the structured
14 application. We're already seeing the first steps
15 being made. We started to use KASA. And KASA was
16 actually highlighted in one of the latest FDA blogs
17 written by the commissioner.

18 In June 2018, the commissioner recognized the
19 importance of modernizing the drug review from a
20 text-based to a database assessment. As he says,
21 "Having a structured template that completely replaces
22 the current largely narrative based review will allow

1 for more consistent and predictable entry and analysis
2 of data. Current assessments require manual review of
3 the entire application. However, KASA will enable
4 automated analysis of some portions of the application,
5 which will save time and ensure consistency."

6 As I mentioned, the current KASA is striving
7 to do so, however, the knowledge assessment that we
8 have in house today could be greatly enhanced if we
9 would have a structured application in place. Our
10 vision for the desired KASA system would be one that
11 uses a structured application that communicates with
12 the KASA interface, and that would of course serve as
13 an automated enhancement to the system.

14 A structured application would have
15 standardized data that would integrate with the
16 knowledge-aided assessment of KASA. As I mentioned,
17 our intention is to leverage some of the efforts that
18 are currently undertaken by FDA at project PQ-CMC, and
19 we consider this project as a stepping stone towards
20 achieving that structured submission goal.

21 I mentioned PQ-CMC. I want to give you a
22 little bit of background into what PQ-CMC is. The

1 pharmaceutical quality, chemistry, manufacturing, and
2 controls, or PQ-CMC, project is an initiative
3 undertaken by FDA to identify and prioritize eCTD
4 quality sections amenable to a structured approach.
5 Its goal is to provide a recommendation for the
6 standardization to facilitate application review and
7 quality data management.

8 Now that I talked about the project, one may
9 ask what is the relationship between the PQ-CMC and
10 KASA? We see PQ-CMC as a project that mainly deals
11 with data management with a focus on structured data
12 and submissions, whereas KASA has a slightly broader
13 scope. It deals with knowledge management with a focus
14 on structured content assessment and enabling
15 comparisons, as I mentioned, across product lines,
16 product life cycles, and of course facilities, as
17 Christina talked earlier.

18 One can establish a direct relationship
19 between PQ-CMC and KASA through the use of automated
20 tools. Automated tools can be used to extract
21 standardized data from an application that is submitted
22 as per the PQ-CMC requirements and use those data to

1 autopopulate certain sections in the KASA platform,
2 therefore increasing efficiency of the assessment as
3 well as consistency.

4 For example, if you look at the information
5 that would be submitted in a P.5 section of the
6 application, so dealing with the control of drug
7 product and similarly the structure of that section in
8 the KASA platform, information such as drug product
9 specification and acceptance criteria can be, as I
10 said, extracted using automated tools from structured
11 submission containing standardized data and
12 autopopulated in the KASA platform, whereas other
13 sections in the KASA platform such as justification
14 could be selected from a drop-down, supporting
15 information if needed, that could be linked directly to
16 the submission. Of course the assessment would be
17 typed in the KASA platform directly by the assessor.

18 Now that I gave you a high-level overview
19 about our vision for structured application, I would
20 like to spend a few minutes remaining in this
21 presentation to talk about the overall benefits offered
22 by KASA. I want to emphasize that KASA is the system

1 that moves the narrative-based assessment into a
2 data-driven assessment. That can be done, as I
3 mentioned, using structured data, standardized data,
4 using a common language, as well as a uniform output.
5 That of course will eventually improve the consistency,
6 the transparency, communication, and objectivity of the
7 regulatory actions.

8 Again, through standardized data, KASA enables
9 assessors to automatically retrieve the historical data
10 and facility information, which in turn contributes to
11 better informing the regulatory evaluation and
12 decision-making progress.

13 It was mentioned before by Andre that KASA is
14 using tools and algorithms, therefore it facilitates
15 assessment of risk and reduces subjectivity of
16 documentation and the time that is spent on assessing
17 risk. Also, Andre mentioned about having KASA
18 informatics that can be potentially used to run
19 applications and compare those applications against a
20 broader KASA database, which would allow detection of
21 outliers and control strategies and risk attributes
22 that would significantly improve quality and efficiency

1 of assessments.

2 Not lastly, through capturing risk mitigation
3 strategies and risks, KASA also conveys residual
4 product manufacturing and facility risk for each
5 regulatory submission. It will eventually become
6 instrumental in capturing established conditions.
7 Also, it helps the assessment of post-approval changes
8 and the life-cycle management of drug products. At the
9 agency level, KASA is important because it helps focus
10 resources for the post-approval and surveillance
11 inspection on high-risk products.

12 That concludes the presentation on KASA today.
13 Thank you for your attention.

14 **Clarifying Questions**

15 DR. AMIDON: Thank you.

16 Are there any clarifying questions for the FDA
17 speakers? If there are, and we hope to have a dynamic
18 discussion, just raise your hand, and we will recognize
19 you in order. Please remember to state your name for
20 the record when you speak, and if you can please direct
21 your questions to a specific speaker.

22 Dr. Mager, first.

1 DR. MAGER: Thank you. Don Mager. It really
2 isn't directed to any one person, but I was wondering,
3 within the system, to what extent can the data be
4 exported to external analysis tools, or to what extent
5 can external analysis tools be integrated into the KASA
6 system? In thinking about informatics, that would seem
7 to be highly desirable, or was this meant to be more of
8 a stand-alone, self-contained platform?

9 DR. YU: Can I clarify? So when you talk
10 about externally the, you talk about outside the FDA or
11 within FDA?

12 DR. MAGER: Within the FDA, but analysis
13 tools -- you stayed KASA informatics. But I'm
14 wondering, there may be analysis tools that should be
15 accessible to an assessor outside of that system or
16 would it become part of that system?

17 I'm just wondering to what extent is this
18 flexible in linking out to other analysis programs?
19 Having the data available addresses the assessability
20 part, but then having that to be readily used in
21 analysis programs I think would also be incredibly
22 important. And I'm just wondering to what extent this

1 is going to be flexible in that regard.

2 DR. RAW: Well, I can tell you we ready built
3 a prototype, but now we're working with our IT folks to
4 build this. But presumably, I don't see any reason why
5 it can't be flexible. We're going to get all the data,
6 and then we can -- I don't see that to be an issue.

7 We're going to get all this data, but then we
8 want to mine this data. So whatever tool that we need
9 to use to mine the data, we're going to try to
10 incorporate into the framework. But we're not there
11 yet. We're building the tool right now. But
12 eventually, once we have all that structured
13 information, I don't see that to be an issue at all.

14 DR. ROSENCRANCE: I'll just add to that my.
15 My understanding in talking with the IT people is they
16 can pretty much connect it up with any other kind of
17 tool. We're just not there yet. We're still trying to
18 develop KASA. But I think it's certainly feasible in
19 the future to be able to connect it up so we can really
20 analyze things and make the most use of it.

21 DR. YU: In the agenda presentation is a
22 [indiscernible]. Right now in the current stage, the

1 company submitted all the information and data in PDF
2 format. I know in the clinical side, they have data
3 extraction automatically sharing. In the CMC site,
4 pretty much all the information is encoded in PDF file,
5 in the PDF file where the section relates to
6 characterization of drug product, characterization of
7 product development, manufacturing information,
8 [indiscernible] information, stability information, and
9 packaging information, and so on and so forth.

10 So an assessor within FDA evaluates those
11 data, submit paper, and make an evaluation whether the
12 data is sufficient to be able to allow the company to
13 produce medicine which is high quality. As Christina
14 pointed out in her presentation, if we find a
15 significant risk related to quality, for certain, we
16 will conduct inspection.

17 So there's a clinical stage -- when I joined
18 FDA many years ago, I was thinking FDA would have all
19 the data be available allowed to develop those modeling
20 databases. Of course they are still available, except
21 they're all in the basement. So you have to go there
22 and dig out by hand. But today we're much better.

1 They do electronic storage, so we're not able to dig
2 out data except in PDF file, which is difficult to
3 search and difficult to construct.

4 The grand version of the KASA in the future as
5 we move forward is essentially is information sharing
6 and information processing. So we're hoping that the
7 company as a sponsor shares all this information
8 electronically with us without extracting, without
9 retyping, without cutting and pasting. And when all
10 this information within FDA is on the IT platform, we
11 will do the analysis.

12 For example, pharmacokinetic analysis could be
13 a process, and the PKPD model, and obviously the
14 quality side. All of this information will be
15 searchable. So as in Andre's talk and Christina's
16 talk, when we what's a specific model application, what
17 is a pure specification, for example, and what is the
18 dissolution going on, we're all interchanging within
19 the facility and within FDA.

20 So to answer your question, we're hoping that
21 we'll have a labasta [ph] ?? [indiscernible] system
22 that will allow information sharing and information

1 processing eventually to make a scientific regulatory
2 decision for any application, which at the end of the
3 day will ensure high quality of a product. So in a
4 simple way to answer your question, the answer is yes.
5 Thank you.

6 DR. SCHMUFF: This is Norman Schmuff from FDA.
7 In the context of the PQ-CMC project, one of the goals
8 is to structure the data in such a way so that it's
9 databasable [ph]. One of the goals would be to
10 pre-process and pre-analyze the data, and for example,
11 present the reviewer with tables that look the same
12 every time. For example, we could pull out what's the
13 maximum amount of stability data in the proposed
14 container closure system.

15 We could create such a table like that by
16 pre-processing the data and showing that to the
17 reviewer every time, which we think would facilitate
18 the review process. So that's very much one of the
19 goals of structured submissions.

20 DR. KOPCHA: If I could just add to that as
21 well, one of the things we want to do -- we do need to
22 have this in a database. It does need to be searchable

1 because as we start taking a look at generics, you need
2 to go back to the reference listed drug, which is
3 typically your NDA or the innovator product.

4 So in order to be able to do that, you've got
5 to be able to search. But what we really want to do is
6 to be able then to search for where the risks are so
7 that we can evaluate the generic products similarly to
8 the innovator product, so you have that standard in
9 consistency in terms of quality review for both the
10 innovator and the generic products that come along.

11 DR. YU: Greg, just for the record, the person
12 who just spoke is Norman Schmuff, associate director
13 for science and communication within office process and
14 facility within office products and pharmaceutical
15 quality, just for the record.

16 DR. AMIDON: Good. Thank you.

17 Dr. Tenjarla, next please.

18 DR. TENJARLA: Thank you. Very good
19 presentation and very well presented. Thank you for
20 that. My question is not specific to any one speaker,
21 so anybody can respond to it. I was wondering what is
22 the timeline for implementing the structured

1 submission, and when would be an opportunity given to
2 comment on the proposal? I can see the value of it. I
3 would also like to see if it can be improved even
4 further by people who have experience on it and have an
5 opportunity to comment. Thank you.

6 DR. ROSENCRANCE: I will say that we're not at
7 the point of even having a timeline for the structured
8 submission. We're still in a development phase of the
9 KASA itself. But for that, we do have planned a soft
10 rollout in a phased approach. In that soft rollout,
11 we're targeting for 2019.

12 So we'll see how that goes, and then we'll get
13 to the point where we would definitely communicate with
14 industry regarding structured submission and definitely
15 get their input into what would work best for
16 everybody.

17 DR. SCHMUFF: Let me just mention, as far as
18 PQ-CMC structured submissions, we intend to make that a
19 745(a) binding guidance, so it will be required to
20 submit in that format. But as described in 745(a),
21 there will first be a draft guidance, and then there
22 would be a final guidance, and the requirement would

1 come and place two years after the final guidance. And
2 we haven't even done a draft guidance yet, so you can
3 sort of calculate in your own mind what the time frame
4 would be.

5 DR. KOPCHA: And just to add to what Susan had
6 said, when she's talking about a soft rollout, she's
7 talking about internally a soft rollout so that the
8 reviewers or assessors can start using the system,
9 troubleshooting it, ironing out the bugs there before
10 this does actually go out to having structured
11 submissions coming in. I just wanted to clarify.

12 DR. TENJARLA: Thank you very much.

13 DR. AMIDON: Thank you. Dr. Donovan?

14 DR. DONOVAN: Thank you. Maureen Donovan, and
15 I don't really know who to direct this to either
16 because it's more forward-looking in the transparency
17 of some of the evaluations that the FDA makes about a
18 particular product and its activities and life-cycle
19 management.

20 How much of that information are you planning
21 on communicating to the applicant themselves so that
22 they both know how to improve potentially as they go

1 through changes in their product and reevaluation, or
2 how will that be implemented and communicated regarding
3 further inspection strategies from the FDA?

4 DR. ROSENCRANCE: Well, it's a good question.
5 It's a very good question. I don't know that we have
6 an answer for it yet because we're just not to that
7 stage. But we always believe in communicating with
8 applicants in an effort to increase the quality of
9 submissions. So I imagine we will at some point. I
10 don't know exactly how much, though, at this stage
11 because we're still trying to develop the system
12 itself.

13 DR. AMIDON: Dr. Cook?

14 DR. COOK: Jack Cook, Pfizer, industrial
15 representative. I'll direct this one towards Michael.
16 It's another comment on an improvement because I think
17 we're beyond clarifying questions.

18 Mi casa, su casa is a wonderful thing. I'm
19 actually excited about this because I think we have an
20 opportunity to give something back to the applicants.
21 Imagine a system where it gives the algorithm back to
22 the applicants saying, these are the things that we've

1 identified that we think ought to be mitigated. And
2 then we as the applicant, as we think about them, put
3 in what we think our mitigation strategy is.

4 So that whole half of the initial assessment
5 is done. And for somebody who uses FMECA to look at
6 protocols, always include another because there will be
7 things -- and I'm sure you will.

8 DR. KOPCHA: For sure.

9 DR. COOK: For all of those things, there's
10 always another round, and that's the innovation that
11 comes.

12 DR. KOPCHA: Sure. I mean, typically,
13 Maureen, to answer your question as well, we always
14 give back, through our communications with the sponsor,
15 where the issues are and how
16 they're going to take a look at mitigating that. And
17 the feedback we get is, look, you have different
18 investigators that focus in different areas. And we
19 understand that and we know that. It's inherent in
20 individuals having different levels of expertise and
21 focus in terms of what they do and their own personal
22 biases; let's be honest.

1 So we do want to feed that back so that the
2 industry can get better because when we send out our
3 information requests, our IR letters, industry has come
4 back and said these are great teaching tools for us as
5 well because then we can continue to increase our
6 standards of quality as we go along.

7 Also, what we would like to do is to be able
8 to take that information and with our surveillance
9 group be able to determine where are the recurring
10 issues around quality so that we can go back to the
11 industry and say, look, these are the areas that we
12 keep seeing problems with. Let's work together to be
13 able to figure out how to resolve that. We do that
14 now, but this will give us a more thorough review
15 because it will be done electronically as opposed to
16 some of it we do now is manual because of the free
17 text, not structured texts.

18 DR. COOK: And the idea of making that
19 available, for me, is actually before you ever get the
20 application, if somehow the algorithm of the tool is
21 out there, you'll actually have the sponsor of the
22 application thinking about it, filling it in, and

1 having it done closer to right rather than having them
2 proceed and then need to go back and rework.

3 DR. KOPCHA: Right. And Norman may chime in
4 here as well. But typically, through our draft
5 guidances, we put that information out there so that
6 individuals know the areas we're focusing on and how to
7 address those areas. But I have to be honest with you.
8 Not everybody reads them. Not everybody actually
9 follows them. So we still get a lot of the same
10 problems and issues that are recurring.

11 DR. COOK: Absolutely. But here's something
12 you flagged. The guidances don't always have the
13 algorithms in there or even thinking here's the way
14 that you can pass your learnings off even faster than
15 it takes to get a guidance out. I know you're really
16 fast about that. He smiled.

17 DR. KOPCHA: All of this has to go through
18 internal review in terms of how much information we
19 could actually share and the extent of that. A lot of
20 people had interest in our site surveillance model and
21 how we do that. That was just recently published on.
22 So we try to share what we can when we can, and

1 hopefully that will enhance the quality of the
2 submissions and the products that get on the market.
3 And that's the ultimate goal of everything we do.

4 DR. AMIDON: Good. Thank you. Dr. Carrico
5 next.

6 DR. CARRICO: Jeff Carrico, NIH. Another
7 general question, unfortunately. But I think anytime
8 that we standardize things that we have to think about
9 the potential for outliers. And you may have answered
10 this partially with the idea that links can be provided
11 to additional information, but I guess, one, would you
12 agree that there is a chance that things might not fit
13 nicely and perfectly into the drop-down options? And
14 if they don't, how will that be addressed?

15 In other words, will outliers be locked into
16 those categories or will there be a way to actually
17 recognize that some things don't fit perfectly?

18 DR. RAW: Actually, we did discuss this with
19 the IT informatics group. Basically, we think
20 drop-downs capture most of the issues. But you're
21 right. There could be something that's novel. And
22 when it's novel and it's really orthogonal,

1 conceptually different from the different ones, yes, we
2 can add it. We talked to the IT folks, and we'll have
3 some super user capability that we can add if it's
4 applicable.

5 DR. KOPCHA: Just to add to that as well, one
6 of the things we're trying to minimize is that we don't
7 want our reviewers to use that part of it, the free
8 text piece, all the time because we're trying to get
9 away from that. So we try to standardize as much as we
10 can and minimize the amount. We realize there are
11 going to be outliers, and that's great. We can figure
12 out a way to do that.

13 By the same token, we just want to make sure
14 that the reviewers are not continually writing things
15 because then we're going to be back to the same issue
16 we're trying to correct by using the system to begin
17 with. So it's going to require some training,
18 oversight, and follow-up and follow-on in terms of the
19 quality of those reviews, which we do now to make sure
20 that people can standardize things as much as possible
21 and that they follow the guidances, the SOPs, and all
22 that that we've put in for ourselves for our own

1 internal work.

2 DR. CARRICO: Thank you.

3 DR. AMIDON: Dr. Terzic?

4 DR. TERZIC: Andre Terzic, Mayo Clinic. I
5 think the presentations were indeed, as mentioned
6 before, outstanding, very timely, very clear. The
7 comment generically you are receiving, and I will
8 underscore it a little bit more, is that the value of
9 this approach may go clearly beyond the regulatory
10 process and the regulatory authorities. So as you're
11 still having time developing these algorithms, keep in
12 mind how to emphasize the proximal piece, which may be
13 first to industry, may be first to increasing the
14 academic institutions and also the knowledge cycle
15 beyond the regulatory process. I think in formulating
16 the value of this overall initiative, that will be
17 important.

18 A very minor, specific question maybe to
19 Andre, the examples you mentioned were in the NDA and
20 ANDA space. Will this apply also to the BLA space, and
21 will it be a specific algorithm or generic algorithm?

22 DR. RAW: Right now, we develop the structured

1 drop-downs for ANDAs and NDAs. A lot of it is
2 small-molecule pharmaceuticals. We think we can
3 capture all that structured information. With BLAs,
4 you have a little bit more complex active ingredients.
5 The question is if we can use those drop-downs and
6 actually capture that information, I would say for
7 certain classes of BLAs -- I mean, I would have to
8 refer it to Steve. But when we're at the point that we
9 can capture that information and people are
10 comfortable, yes, it could be applied to the other.

11 DR. YU: So as any initiative within FDA, I'm
12 sure this happens to any organization, we will have a
13 steering committee and monitor its progress, design the
14 vision, and design the future and the project
15 management. Of course, the working groups get a
16 development IT platform, the inside activation, and all
17 these rules [indiscernible]. So make sure to make all
18 the decisions, which we talk about drop-down menus.

19 In the steering committee, we do have
20 representation of course, obviously from generic drug
21 site, we have a new drug site, as a biotechnology site.
22 So I can envision down the road with the success with a

1 generic, we will most likely move into the BRS [ph].
2 It likely will depend on the maturity of the knowledge
3 of a specific application and when we feel comfortable
4 with the information. But whether we can develop a
5 drop-down menu or not, knowledge sharing, knowledge
6 analysis or information sharing or information analysis
7 will all be there.

8 We actually corrected that. If all the
9 information within FDA will be available for the
10 impurity level, just the full context and the format,
11 that information will be anonymous, and FDA intends to
12 analyze them and share with the public so that industry
13 knows what's going on and FDA knows what's going on.
14 And eventually, it will be convenient for you and it
15 could be convenient for the regulator. The eventual
16 benefit is for the patient and consumer
17 [indiscernible].

18 DR. SCHMUFF: Just to address this use of data
19 outside the regulatory environment, we are currently
20 involved in a number of things that impact the
21 electronic health records and electronic prescribing,
22 including the ISO effort in identification and

1 medicinal products. We currently have been working
2 with EMA and with the ISO organization to be sure that
3 the terms and definitions that we use are consistent
4 with the 5 ISO standards on identification of medicinal
5 products.

6 So we are cognizant of the uses outside the
7 regulatory area, and we're collaborating on that.

8 DR. TERZIC: Thank you very much.

9 DR. AMIDON: Thank you. Dr. Sun?

10 DR. SUN: Yes. Duxin Sun, University of
11 Michigan. I have a quick clarification question, a
12 comment, and also another question to ask for Andre.
13 The clarification would be, assuming once you develop,
14 the version you guys are using internally will be the
15 same version that industry uses so that you can guide
16 them to submission. That's the quick clarification,
17 assuming it's yes.

18 I have a comment and a question. You will not
19 have a different version for you to use internally and
20 external use to use as a submission.

21 DR. SCHMUFF: In the longer term, yes. In
22 terms of the drop-downs for risk mitigation,

1 potentially I think in the longer term, it would be
2 very similar. I would say so.

3 DR. SUN: I have a question for you also. The
4 problem that I have is I clearly see the benefits from
5 a regulatory science point of view. You guys did a
6 really terrific job presenting the benefits internally.
7 From a sponsor perspective, it is also very helpful.

8 So one help will be, the sponsor is a very
9 different level. Big firm, they know what to; medium
10 or small firm, they don't know how to do. They don't
11 know what to submit. It's a mystery to them for an FDA
12 submission. If it's released, it's very structured and
13 they help everybody. That's number one.

14 The number two benefit I see is that
15 regardless of the company, there's a glitch during the
16 development. Sometimes you have to move further along.
17 You finish a clinical trial. Then with the clinical
18 trial already done, if I was the sponsor, I would try
19 to make a justification with I don't want to make
20 change. I want to make a justification and discuss
21 with you, and it makes it very difficult to evaluate.
22 I may downplay some of the things, some of the red

1 flags for you not to say. So if it is structured, you
2 really help them to avoid that situation.

3 So the question I have is, for the risk
4 number, the high and a low, are you envisioned to also
5 give industry that capability? We have hands-on data
6 to assess the risk number, 5 or 1. You have internal
7 data more to risk, 1 to 5. Do you envision you give
8 them that capability to also give a numeric number for
9 the risk?

10 DR. KOPCHA: Let me just clarify. The
11 question you had asked previously or I guess the
12 clarifying question is, are we going to use KASA to
13 give it to industry for them to put their submissions
14 in? The KASA that you saw that was presented today is
15 for our internal review, so that system is not what's
16 going to be given to industry to make their
17 submissions.

18 Industry now uses an electronic common
19 technical document. They use the eCTD date. So there
20 is a way to structure the information that they send
21 into us. But our KASA is to be able to take the
22 information that comes into us from the industry and do

1 the quality assessment.

2 The system that we use is not what we're going
3 to give to the industry to make their submissions. We
4 do want to, though, put together a structured template
5 that the industry can use that would be compatible with
6 our KASA system such that when the application is
7 submitted, it pre-populates all of that information
8 into the KASA template for our then assessors to make
9 that assessment.

10 So to answer your second question, we're not
11 going to be looking for the industry to make their
12 assessment in terms of assigning a risk to the areas in
13 their submissions per se. When they make their
14 submissions, it is incumbent upon the industry to
15 identify where the risk is and how they're addressing
16 that risk.

17 Now, we may or may not agree that the areas
18 that they've identified are the areas of risk, to
19 answer your question; because you said they may
20 downplay certain areas. Yes, you're right, but that's
21 where we need to go in there and review or assess what
22 they've provided to us.

1 So our intent as assessors is not to tell
2 people what to -- I want to make sure I state this
3 correctly. We want to assess what they provide to us,
4 the information they give to us because they know the
5 process the best. They're the ones that have developed
6 it. They've gone through all of that work. They
7 should really be -- we want to see what they're telling
8 us, and then we will assess the information that
9 they've given us and decide whether or not we agree
10 with it, or we need additional clarification, or if we
11 need to do pre-approval inspections to get some of
12 those additional answers or clarifications that we may
13 need.

14 So hopefully that structures it a bit
15 differently. But I did want to just kind of clarify
16 what is we're doing. So again,
17 what we presented today is strictly for our internal
18 assessments.

19 DR. SUN: Thank you.

20 DR. AMIDON: Dr. Tenjarla, please?

21 DR. TENJARLA: Thank you. Srini Tenjarla,
22 Shire Pharmaceuticals. Again, this question is to any

1 of the speakers. As I said earlier, this is an
2 excellent initiative from the FDA. My question
3 specifically is that during global submissions, you get
4 to be evaluated by different standards on the vendor
5 application under review. I was just wondering if the
6 FDA socializes with any of the other agencies like EMA
7 or PMDA, or is it too early to do that right now but
8 you plan to do it down the road?

9 DR. ROSENCRANCE: Yes. I would say it's too
10 early right now. We're trying to socialize this
11 internally, actually. It's certainly a good point.
12 And when we get to that stage, I think we would
13 certainly consider it.

14 DR. TENJARLA: Specifically, the reason I was
15 asking was, for example, if you go into a zone for a
16 country, there may be a migration of the drug from the
17 drug polymer complex because of the high storage
18 conditions and things like that. So they may have a
19 slightly different point of view to take into
20 consideration.

21 DR. YU: Can you clarify or maybe come close
22 to the microphone.

1 DR. TENJARLA: Sorry. I was saying that there
2 may be examples in other countries like zone 4
3 countries, where there may be a migration of the drug
4 from the tablet, between the tablet from the polymer
5 complex for various different reasons. So those
6 factors are also taken into consideration when we do an
7 evaluation of the drug product at a process or a
8 facility. That would be very helpful.

9 DR. ROSENCRANCE: Yes, I definitely agree.

10 DR. SCHMUFF: I would mention that the EMA is
11 aware of our structured submission efforts. We hosted
12 the manager of the EMA spore initiative about a year
13 ago, and we talked about IDMP specifically, and we did
14 talk about structured submissions. So they at least
15 are aware of that. And we did get a number of Federal
16 Register notice comments suggesting that maybe this
17 should be taken to ICH.

18 DR. TENJARLA: Thank you.

19 DR. FINESTONE: I have a question, and it's
20 certainly not going to be as technical as the ones
21 you've heard. My assumption is that you're going to
22 base the criteria for high, low, medium, 1 to 5, based

1 on past experience, as the data that you currently
2 have, that I understand is in a PDF format, is rather
3 narrative as opposed to numbers structured. So the
4 criteria has to be developed within that confine.

5 Is there going to be some flexibility to take
6 into consideration the expertise of the assessor?
7 Eliminate the bias as much as possible. But you'd take
8 that into consideration, or is the criteria going to be
9 so stringent that this is what fits in number 3 and
10 this is what fits in number 1? Is there going to be
11 some availability for that personal assessor's input?

12 DR. YU: Those criteria originally is
13 developed by experts within FDA. We have a number of
14 people expert in different areas that get together and
15 develop them. Reviewers always have the opportunity to
16 make their own scientific judgment, and the original
17 criteria simply provides a basis for them to move
18 forward as in the new situation, because in FDA, we're
19 facing multiple challenges, for example, the drug
20 shortage situation, unmet medical needs.

21 So we evaluate them not based on absolute
22 number, for example, medium, high, we consider the

1 patient's needs, the consumer and the patient's needs.
2 As Dr. Kopcha [indiscernible], we cannot emphasize more
3 about significant, well [indiscernible] patients. So
4 therefore, we emphasize much more in our consideration.
5 Certainly, the criteria provides a basis for us to move
6 forward. Thank you.

7 DR. FINESTONE: Thank you.

8 DR. AMIDON: Thank you, Dr. Finestone, for
9 that question. I have a question. This is Greg
10 Amidon.

11 You've talked about using KASA in the
12 submission process. And I was wondering if you could
13 just add a little bit more about how you might see this
14 being used throughout the entire development process
15 and dialogue and discussion with industry.

16 DR. YU: Our goals are much more structured
17 and increase certainty and decrease uncertainty. I
18 think some of the advisors are talking about the
19 sharing of knowledge, the sharing of an algorithm.
20 When all of this information is shared, FDA will
21 extract from different applications and will share with
22 industry so that industry can facilitate not on their

1 regulatory development, but more significantly also
2 their development process as well. And we will come
3 together to ensure high quality in the marketing place.

4 In simple way to answer your question, I do
5 envision this initiative not only to just benefit the
6 format, but mainly
7 KASA will focus on the context of the submission.

8 DR. AMIDON: Good. Thank you.

9 Dr. Smith, first.

10 DR. SMITH: What I haven't heard is the
11 availability of the knowledge base, which is the
12 foundation for the temple. Could you talk some more
13 about that, please?

14 DR. ROSENCRANCE: When I was showing this
15 schematic -- I'm not sure I quite fully understand your
16 question. But what I was trying to say is that we
17 have a huge knowledge base in the agency with the
18 applications we've approved and the facilities we've
19 looked at.

20 The point I was trying to make was that when
21 an application comes in, whether it's structured or
22 not, we would do the quality assessment. With a good

1 knowledge management system like KASA, we could do the
2 assessment while using this knowledge base in the
3 context of this knowledge base.

4 Is that what you're -- I'm not sure where we
5 didn't discuss it. I thought the emphasis was that we
6 will definitely be tapping into this knowledge base and
7 using it whenever an application comes in.

8 DR. SMITH: My question had to do with the
9 availability of the knowledge base in a forum that it
10 could be rapidly absorbed into the KASA system say to
11 help to evaluate a new drug. Can one immediately put
12 the availability of related knowledge into the system?
13 Because if the available knowledge base is not well
14 structured -- and I take it from your comments that
15 it's not 100 percent -- then that's going to
16 essentially delay the whole process. And it seems to
17 me if the FDA is serious about KASA, which I think is a
18 very good idea, it needs to simultaneously develop the
19 knowledge base in an immediately interpretable form by
20 KASA, and I haven't heard about that.

21 DR. ROSENCRANCE: A knowledge base for
22 internal use, right, not for -- yes.

1 DR. SMITH: For internal structure.

2 DR. ROSENCRANCE: Well, we've got to start
3 somewhere. There are applications years ago that's not
4 readily -- but we'll have to start somewhere. I think
5 Andre alluded to it that as the -- maybe, Andre, you
6 can clarify more. But as a reviewer, we have to build
7 the knowledge base. And then as a reviewer assesses
8 the applications, it can be flagged as to whether it's
9 within those limits. But it's going to take time
10 definitely to get that knowledge base fully developed,
11 but we do have to start somewhere. And that's the
12 whole idea of KASA, is it captures it all in one
13 unified place.

14 DR. YU: In that way, KASA will facilitate the
15 decision-making process to think about. And clearly we
16 have knowledge with each individual subject matter
17 experts. When the [indiscernible] specific patient
18 evaluates, they make a decision what information they
19 need and to ask industry to probably provide additional
20 information in order for them to make a decision in
21 many cases.

22 So now, the knowledge will be captured in the

1 rule-based approach, that we call the drop-down menu.
2 Those will be available, number one.

3 Number two, in some cases, it may not be
4 captured completely. With the full KASA system, the
5 reviewer will be able to search in other applications
6 with similar -- for example, the same product. We will
7 see what the regulatory action has been taken for
8 applications instead of relying on [indiscernible]
9 memory. That will facilitate the information
10 availability and eventually facilitate the
11 decision-making process.

12 So we clearly envision that the KASA system
13 will increase our efficiency and facilitate the
14 decision-making process, and eventually increase the
15 speed of availability of medicine to our consumers and
16 to our patients. I hope this answers your question

17 DR. SMITH: So you're saying that you're
18 developing the knowledge base at the same time that
19 you're developing the software?

20 DR. YU: Well, I want to say that KASA is not
21 a one-time thing. For example, we develop it
22 by -- let's say December of 2019, we'll stop

1 developing. No. KASA continues the evaluation process
2 evaluation because in 2020, we will receive additional
3 information, which you have not experienced and may
4 have the knowledge. We will continue to build in our
5 rules [indiscernible] so that additional information
6 and additional knowledge will continue to build into
7 our KASA system. So it is an evaluation process.

8 Thank you.

9 I'm sorry. For the record, I should have
10 said -- it's not evaluation. It should be evolution
11 process.

12 DR. AMIDON: Dr. Slattum?

13 DR. SLATTUM: This is Patty Slattum from
14 Virginia Commonwealth University. And my question was
15 related to Dr. Smith's question, actually. I want to
16 make sure I understand the framework.

17 The idea is that this processes is adaptive,
18 and it will be adaptive over time. And what's adapting
19 is these decision rules or the risk rules, and what
20 mitigation strategies work is going to be evolving over
21 time. And I'm curious as to how we see the
22 communication around that happening so that the

1 knowledge that's being gained is shared.

2 Also, how what you already know goes past what
3 individuals know and into this process of building that
4 algorithm; how is it then built so far?

5 DR. RAW: The way that it's been built so far
6 is when we develop those drop-downs, we sat with all
7 the experts in other fields. We had experts from
8 biopharmaceutics, experts in process, process
9 engineers, and we had formulators. That's where all
10 those drop-downs came from.

11 DR. SLATTUM: You accumulated knowledge of the
12 people involved so far. Got it. But the idea is that
13 this is going to turn into some kind of a
14 machine-learning, adaptive, as data comes in, we can
15 refine our algorithms because we see what works in them
16 and what doesn't?

17 DR. KOPCHA: And that's exactly right because
18 what we want to do is, years ago there were expert
19 systems. And the expert system was to grow and be
20 developed by experts, because as those experts leave an
21 organization, that information would typically get
22 lost. But now we want to be able to capture that

1 knowledge. So actually, instead of calling it an
2 expert system, which indeed is what it is, we call it
3 knowledge management.

4 Just to go back to Dr. Smith's earlier
5 question, we do have a lot of this knowledge
6 internally, but we have to sift through it manually.
7 As time goes by, and as we start receiving applications
8 now and doing that as reviews, we can put that
9 information into our knowledge management system. But
10 at some point, and it depends on products because we
11 don't have unlimited resources or money, depending upon
12 what we view as being a critical work that was done
13 previously, we may want to go back and start putting
14 that information into this knowledge management system
15 manually; go back, get it manually, and then started
16 entering that in while we're adding to it as we do
17 application reviews.

18 I mean, let's face it. The FDA has been
19 around for a long time. There's a ton of information.
20 Some of it's hard to find, some of it is easier to
21 find. But to Susan's point, we've got to start
22 somewhere. So the new applications that come in will

1 be electronically downloaded into that knowledge
2 management system, and then maybe some of the earlier
3 ones that were done, again, depending upon the product
4 and the criticality to patients, we may have to go back
5 and do some of that manually. So we have to pick and
6 choose because, again, we just don't have unlimited
7 resources to be able to do all that.

8 DR. AMIDON: Okay. Thank you. Dr. Tenjarla
9 next.

10 DR. TENJARLA: Srini Tenjarla, Shire
11 Pharmaceuticals. I think my question probably has just
12 been answered. But my position was to -- one of the
13 speakers said that the review either -- it's a
14 challenging job for a reviewer to transpose the data
15 from a PDF file into the KASA system, and I completely
16 agree with that.

17 I'm just wondering if FDA can take advantage
18 of the fact that you have a lot of historical
19 information on failures from previous products, and
20 rather than enter all the data from the past, you can
21 maybe enter the hundred failures or whatever the number
22 is. You're making your database stronger up front

1 rather than collecting the information from the time
2 you introduce the KASA to the public.

3 DR. KOPCHA: Yes. We have to set priorities
4 and figure out where we're going to do our work because
5 we still have our day jobs to do, which is in terms of
6 reviewing the applications and getting drugs to the
7 market as quickly as possible. We've got a lot of that
8 information, and our surveillance group has been key in
9 doing that, and hence, the reason why we have a
10 surveillance organization within the Office of
11 Pharmaceutical Quality.

12 They're looking at ways to be able to garner
13 the information, not only what's been done internally,
14 but also what's out there in the public domain because
15 there's information that comes out in the public domain
16 that's not necessarily submitted to the FDA.

17 So our surveillance group is working on that.
18 You've got to realize that group's only been in place
19 for a little over three years. We're looking at
20 technologies to be able to do that, and we've got some
21 promising ways of being able to pull that information,
22 because ultimately we want to be able to, within our

1 site selection model, be able to determine where the
2 high-risk facilities are, because again, we don't have
3 unlimited resources to do inspections of every single
4 company. So all this kind of plays together to solve a
5 lot of the needs that we've seen over the years.

6 DR. TENJARLA: I agree. Thank you.

7 DR. AMIDON: Dr. Awni next.

8 DR. AWNI: The question I was going to ask is
9 already covered, so I'm going to pass.

10 DR. AMIDON: Good. Thank you.

11 Dr. Sun, next.

12 DR. SUN: Dr. Kopcha already answered my
13 question, but I will comment anyway. As an outsider,
14 when I come out a research question, I think to myself.
15 I said, "FDA has this data already. I'm sure they have
16 the answer," whether the answer is in the basement of
17 somewhere. So ideally, I have access to dig that out,
18 and I can use, but I cannot access.

19 So I think in terms of knowledge, the
20 knowledge is there. The FDA has a lot of research
21 knowledge, rich knowledge, in the basement. The
22 problem is, it's not accessible to you and, of course,

1 not to the outsider.

2 So my understanding is, really,
3 [indiscernible] you start to capture all of those and
4 make the future much easier, then I do agree to the
5 question. If there is an effort to put those basement
6 papers into electronic data that's searchable, that has
7 to be very, very rich. Nobody else has that data, and
8 a lot of answers are already there. We do not need to
9 do research anymore. It's already there.

10 DR. KOPCHA: Right, and we realized that.
11 There is a lot of information there. Even some of the
12 IT systems, they're so old that they don't communicate
13 with each other any longer, and we've run into that
14 problem as well. So even though we've electronically
15 captured that information or that knowledge, we have
16 issues with trying to allow that to communicate with
17 some of the more modern systems as well.

18 So it is a tough piece, but it's just the
19 reality that we've got to deal with.

20 DR. AMIDON: Thank you. Dr. Li?

21 DR. LI: Tonglei Li from Purdue University. I
22 definitely commend FDA on developing the system. I

1 believe informatics is mentioned in the discussion.
2 I'm just curious about what initiated efforts will go
3 ahead in terms of data mining. Centered around
4 identifying hidden risk, that may be a field to be
5 identified in early assessment.

6 Because I teach students about solid-state
7 chemistry, one example we have is ritonavir. That's in
8 NIDES [ph]. A new form was identified in the early
9 process. So I'm just thinking that what if in the
10 future there's a similar product with hidden risk. Can
11 that be identified by processing the database, by data
12 mining the database? I definitely would like to hear
13 more about those efforts.

14 DR. YU: In the case of ritonavir, as we share
15 with the public a new polymorphic form, you can come
16 back and look at the FDA's evolution of our regulatory
17 assessment. In the '90s, we had little information
18 related to the polymorphic form. In 2000, we began to
19 have a policy for polymorphic form, so it's information
20 sharing.

21 In the HIV inhibitors area, once we learned
22 about the case, ritonavir, all the incoming future

1 applications are now being set up of additional
2 regulatory expectations. This [indiscernible] in the
3 pharmacometrics or mathematical term is adaptive.

4 As a perfect example, as we're learning from
5 new experience and new knowledge, we'll bring
6 additional rule and the criteria. So it's a risk to
7 form a new polymorphic [indiscernible] form impact, and
8 reviewer performance will be minimized.

9 In the future, this is an isolated case
10 because the company discovered, FDA learned. And in
11 the future if all this database is available,
12 informatics, yes, it will all be significant to play.
13 For example, there are so many formulations out there.
14 There are so many excipients used in different
15 formulations with a lot of stability predictions going
16 on, as well as informatics in pharmacometrics area and
17 clinical [indiscernible] -- will do a lot of the
18 mathematical modeling and simulation, and will help us
19 to establish our criteria and also makes the agency
20 more current and adaptive to ensure quality medicine.

21 DR. ROSENCRANCE: And maybe to understand one
22 quick point, I just want to emphasize that KASA isn't

1 meant to replace the role of the assessor. It's to aid
2 the assessor and make more informed, objective
3 decisions. I just want to make sure people don't
4 misunderstand, the assessor's role is still very, very
5 important to find these hidden risks.

6 DR. AMIDON: Very good. Are there any last
7 clarifying questions?

8 (No response.)

9 DR. AMIDON: Okay. Good. We'll now take,
10 I'll say approximately, a 15-minute break. Let's come
11 back at 10:30 to resume the meeting. Please remember
12 as panel members, there should be no discussion of the
13 meeting topic during the break amongst yourself or with
14 any members of the audience. Also, as a reminder,
15 there's another meeting going on next door, so please
16 keep your conversations out in the hallway or
17 elsewhere, at an appropriate volume level I guess.

18 Anything else?

19 (No response.)

20 DR. AMIDON: Okay. We'll adjourn until 10:30.

21 Thank you.

22 (Whereupon, at 10:12 a.m., a recess was

1 taken.)

2 **Questions to the Committee and Discussion**

3 DR. AMIDON: There are no statements from the
4 public, so we will proceed to the question to the
5 committee for discussion. I'd like to remind any
6 public observers that while this meeting is open for
7 public observation, public attendees may not
8 participate except at the specific request of the
9 panel.

10 At this point, I'm going to read to you the
11 question that we will be voting on and ask you first if
12 there are any questions you have regarding the wording,
13 and then we will open the floor for discussion by the
14 committee.

15 The question, as you can see on the screen, is
16 relating to the KASA initiative, should the FDA
17 consider the enhancement of submission format to
18 improve the efficiency and consistency of regulatory
19 quality assessment? That's the question to us for this
20 morning.

21 Are there any questions or thoughts
22 specifically about the wording of this that, as I said,

1 we will be voting on?

2 (No response.)

3 DR. AMIDON: Okay. So if there are no
4 questions or comments concerning that, we'll now move
5 on to open that question to discussion. After the
6 discussion, we will then proceed to voting.

7 Should I go through the voting process at this
8 point? Okay. Following the discussion that we'll
9 have, we will be using an electronic voting system for
10 this meeting. Once we begin the vote, the buttons will
11 start flashing on your screen here and will continue to
12 flash even after you vote. When you vote, please press
13 the button firmly that corresponds to your vote. If
14 you are unsure of your vote or you wish to change your
15 vote, you may by simply pressing the corresponding
16 button until the vote is closed.

17 After everyone has completed their vote, the
18 vote will be locked in, and then the vote will be
19 displayed on the screen. The DFO will then read the
20 vote from the screen into the record. Next, we'll go
21 around the room following the vote and give each
22 individual who voted an opportunity to speak. So we'll

1 ask you to state your name and your vote into the
2 record. You can also state the reason for why you
3 voted the way you did if you want to.

4 We just have one question to deal with this
5 this morning. So with that, hopefully that voting
6 process is clear enough. I think we can be open for
7 discussion at this point. So please, as we did before,
8 just raise your hand and let us know if you have
9 comments that you want to make before we vote, and
10 we'll recognize you in order. So let me open it for
11 further comments or discussion on this.

12 Dr. Sun?

13 DR. SUN: Maybe more like a clarification for
14 the question is, based on early discussion we had, it
15 seems internally you will have a lot of effort going on
16 to develop this system, which is wonderful. But these
17 questions seem to refer for the submission from the
18 sponsor. Do you require to submit and use this format?

19 That's the question. It really seems to where
20 it's industry sponsor.

21 DR. YU: Chair, do you want me to answer?

22 DR. AMIDON: Do you have an answer?

1 (Laughter.)

2 DR. YU: I guess so. One of the slides in
3 [indiscernible] will have a phased approach. It's
4 related to internally the risk assessment, related to
5 the product, and also related to the manufacturing
6 process. It's all an internal phase, phase 1, phase 2,
7 and phase 3. But this is for external. Yes, we do the
8 input from the committee, from you, as to whether the
9 FDA should consider enhancement of submission format,
10 including the context to improve the efficiency and
11 consistency of the regulatory assessment.

12 Without the structure submission, FDA can
13 continue to move forward with this initiative and with
14 the structured information, and it will increase our
15 efficiency. So it's an enhancement. It's a desirable
16 goal, but it's not [indiscernible] the KASA initiative.
17 I just want to make sure. With or without this
18 submission format, internally we'll move forward
19 because, as you can see from the committee, the
20 knowledge of sharing and the knowledge of process
21 informatics is incredible and powerful. It not only
22 helps with the regulatory assessment, but eventually

1 I'm hoping contributes to the evolution of the
2 pharmaceutical science as well. Thank you.

3 DR. AMIDON: Thank you, Dr. Sun. Any other
4 questions or clarifications that might be needed at
5 this point?

6 Dr. Smith?

7 DR. SMITH: I think it would be interesting to
8 hear what the industry reps have to say since we're
9 sort of moving towards putting in a different
10 requirement on them to submit data over information in
11 structured form. I'd like to hear what they have to
12 say about it.

13 DR. AMIDON: Yes?

14 DR. TENJARLA: So I think it's actually a good
15 thing because it's very helpful to know up front what
16 exactly is expected, and anything that contributes to
17 the overall quality of the product is something that
18 definitely would be of value to the industry.

19 DR. AMIDON: Dr. Tenjarla, thank you.

20 Other comments? Dr. Awni?

21 DR. AWNI: Actually, it's a very good thing.
22 We're used to it in the clinical setting. Doctor, you

1 mentioned -- I mean, when we submit PK data, phase 3,
2 we submit it in a certain format that the FDA could
3 actually read it and process it very fast. So I don't
4 see it any differently in this case.

5 DR. AMIDON: Okay. Good. Thank you. Anything
6 else?

7 Dr. Cook?

8 DR. COOK: Jack Cook, Pfizer industrial
9 representative, only to go on record that I too agree
10 anything to facilitate your work and facilitating our
11 review is fantastic. I didn't ask the question what's
12 the burden do you think in industry and how many new
13 people you think we're going to retire, I have to hire,
14 but I don't look to that to be a problem, nor do I
15 think you have any of those answers yet.

16 DR. AMIDON: This is Greg Amidon. Just a
17 comment on my part, I think this is a very interesting
18 direction to go. I just think it's important that it
19 really be as transparent a process as possible between
20 FDA and companies in particular as they're moving
21 through this process.

22 Anything else before we move on to a vote?

1 Just as a reminder, after you vote, we'll go around the
2 room, and you'll have an opportunity to indicate why
3 you voted if you choose to do so.

4 Is there anything else or shall we move on to
5 a vote?

6 (No response.)

7 DR. AMIDON: It looks like we're ready to
8 vote. Please, on your microphone, you'll see the
9 lights blinking. Press the button on your microphone
10 for the vote that corresponds to your vote on this
11 issue. You'll have approximately 20 seconds to vote,
12 so please press the button firmly. After you've made
13 your selection, again, as a reminder, the light may
14 continue to flash. And if you're unsure, you can press
15 the corresponding button again until the vote is
16 closed.

17 So I think we are ready to vote at this point.
18 Please enter yes or no or abstain.

19 (Voting.)

20 CDR SHEPHERD: For the record, the vote is 10
21 yes; zero no; and zero abstain.

22 DR. AMIDON: As a reminder, I think everyone

1 understands the industry representatives are not
2 voters, voting on this. So we have a vote of 10 yes
3 and zero no, with no abstaining. So that's the final
4 vote I think.

5 At this point, I think we go around the room,
6 so I'll make sure I start at the right point, I think
7 with Dr. Donovan first. So your vote and any comments
8 you wish to make?

9 DR. DONOVAN: Maureen Donovan. My vote was
10 yes, and I'm in support of this initiative from the
11 FDA. I like the word "consider" as part of the action
12 that we voted on because I think that there's still
13 some things in the system that need to be worked out,
14 but it's time to be able to sort of bridge between
15 internal and then external, helping internal in the
16 future. So I am supportive of the initiative.

17 DR. SUN: Duxin Sun. My vote is yes. As I
18 said earlier, not only can this help FDA internally,
19 but also externally help industry to know what and how
20 to submit. Actually another [indiscernible] may be
21 helpful. Really, this process helps the industry to
22 have a smooth process. And also, you can actually put

1 FDA and industry on the same page in terms of risk
2 assessment.

3 DR. LI: Tonglei Li. My vote is yes, and I
4 support the FDA initiative. I think the effort is
5 really a scientific approach to handle the submission
6 process. Also, I would appreciate in future
7 opportunities for the university, faculty, and students
8 getting involved in the development of the process.
9 Thank you.

10 DR. FINESTONE: Sandra Finestone. My vote is
11 yes. I appreciate the effort to bring you into the
12 21st century.

13 DR. MAGER: Don Mager. My vote was yes. I
14 think this is a fantastic initiative, and I think it
15 really is mission critical. I think there are so many
16 cases of inaction or delayed reactions or responses not
17 due to the lack of data, but more to the
18 inaccessibility of data as we've talked about today.
19 So I think the initiative is spot on.

20 As to the direct question itself, I think
21 companies would want to embrace this to avoid any sort
22 of issues in transposing data of any kind, so then they

1 know it's going to be directly implemented, as we've
2 heard from the representatives today as well. And then
3 just going forward, I would really encourage the
4 flexible designs that we talked about early on such
5 that the data are not only searchable, but could be
6 easily transposed into formats that could be used for
7 other analyses. That type of flexibility I think is
8 important.

9 You mentioned the pharmacometrics example
10 earlier. One of the biggest bottlenecks there is
11 actually getting the data set in the right format to do
12 that analysis. So it would be wonderful that, yes, the
13 data are electronic; yes, they're searchable; but then
14 also can be readily exported to other types of
15 analyses.

16 DR. AMIDON: Greg Amidon, and I voted yes. I
17 can see this, as well, as being a great benefit to the
18 Food and Drug Administration, but to industry as well.
19 I can see it facilitating the communication between
20 government and industry.

21 As I had mentioned earlier, I think the more
22 transparent this process can be, communication's going

1 to be better. And I'd like to comment as well that
2 it's I think a more scientific approach to this and
3 makes a lot of sense.

4 DR. CARRICO: My name is Jeff Carrico, and I
5 voted yes. There have been some wonderful positive
6 comments, and I concur.

7 DR. TERZIC: Andre Terzic. I also voted yes.
8 This is a very timely initiative. It underscores the
9 mission and vision of the FDA and also prepares not
10 just the industry, but in general, the healthcare space
11 to be even more scientifically oriented in the
12 submission of new applications.

13 DR. SLATTUM: This is Patty Slattum, and I
14 voted yes. I applaud the efforts for clarity and
15 transparency, and I do see great opportunity for
16 patients actually in quality of products when we can
17 better use the information we have. So thank you for
18 doing that.

19 DR. SMITH: I'm Paul Smith. I also voted yes.
20 I think the work that's been done so far is excellent.
21 Obviously, there's more to go. And it will involve
22 changing the way information is presented, but I

1 believe that this is a win-win situation for everybody.

2 **Adjournment**

3 DR. AMIDON: Thank you very much. We're going
4 to adjourn now. Lunch will not be available until
5 11:30, I understand, but as we're finishing early,
6 we'll get a little bit earlier start on the afternoon
7 session. We will adjourn for session 2 at 12:30. For
8 those that are on the committee, there is a room behind
9 us that's available for lunch. And as a reminder,
10 again, no discussion of the topics amongst the
11 committee or any others during that time.

12 Let me check and see if there is anything
13 else. I got a thumbs up I think we're good, so back
14 at 1230. The lunches for the committee are delivered
15 back here.

16 Jack?

17 DR. COOK: Do we have a committee member who's
18 not here, who's coming for the afternoon, and do they
19 know about the 12:30 start-up time?

20 DR. AMIDON: Yes.

21 (Whereupon, at 10:52 a.m., the morning session
22 was adjourned.)